

BETH C. DRAIN, CA CSR NO. 7152

BEFORE THE
ACCESSIBILITY AND AFFORDABILITY WORKING GROUP
OF THE
INDEPENDENT CITIZENS' OVERSIGHT COMMITTEE
TO THE
CALIFORNIA INSTITUTE FOR REGENERATIVE MEDICINE
ORGANIZED PURSUANT TO THE
CALIFORNIA STEM CELL RESEARCH AND CURES ACT
REGULAR MEETING

LOCATION: VIA ZOOM

DATE: JANUARY 13, 2023
2:30 P.M.

REPORTER: BETH C. DRAIN, CA CSR
CSR. NO. 7152

FILE NO.: 2023-02

**133 HENNA COURT, SANDPOINT, IDAHO 83864
208-920-3543 DRAIBE@HOTMAIL.COM**

I N D E X

ITEM DESCRIPTION	PAGE NO.
OPEN SESSION	
1. CALL TO ORDER	3
2. ROLL CALL	3
DISCUSSION ITEMS	
3. UPDATE ON CIRM CLINICAL PORTFOLIO	5
4. UPDATE ON CONTINUATION OF MEDICAL AFFAIRS ROADMAP FOR ACCESSIBILITY AND AFFORDABILITY	37
5. PUBLIC COMMENT	NONE
6. ADJOURNMENT	59

BETH C. DRAIN, CA CSR NO. 7152

1
2
3
4
5
6
7
8
9
10
11
12
13
14
15
16
17
18
19
20
21
22
23
24
25

FRIDAY, JANUARY 13, 2023; 2:30 P.M.

MS. DEQUINA-VILLABLANCA: I WILL START
WITH THE ROLL CALL.

DAN BERNAL. ANN BOYNTON.

DR. BOYNTON: HERE.

MS. DEQUINA-VILLABLANCA: JAMES
DEBENEDETTI.

MR. DEBENEDETTI: HERE.

MS. DEQUINA-VILLABLANCA: DANA DORNSIFE.
DANA GOLDMAN. TED GOLDSTEIN. DAVID HIGGINS.

DR. HIGGINS: HERE.

MS. DEQUINA-VILLABLANCA: PAT LEVITT.

DR. LEVITT: HERE.

MS. DEQUINA-VILLABLANCA: EXCUSE ME. I
SKIPPED A NAME. HARLAN LEVINE. APOLOGIES.

DR. LEVINE: I'M HERE.

MS. DEQUINA-VILLABLANCA: ADRIANA PADILLA.
AMMAR QADAN.

DR. QADAN: HERE.

MS. DEQUINA-VILLABLANCA: AL ROWLETT.
DAVID SERRANO-SEWELL. MAHESWARI SENTHIL.

DR. SENTHIL: HERE.

MS. DEQUINA-VILLABLANCA: ADRIENNE
SHAPIRO.

BETH C. DRAIN, CA CSR NO. 7152

1 MS. SHAPIRO: HERE.

2 MS. DEQUINA-VILLABLANCA: JONATHAN THOMAS.

3 CHAIRMAN THOMAS: HERE.

4 MR. BERNAL: DAN BERNAL IS HERE.

5 MS. DEQUINA-VILLABLANCA: ART TORRES.

6 CHAIRMAN TORRES: PRESENT.

7 MS. DEQUINA-VILLABLANCA: AND THEN WE'VE
8 GOT DAN BERNAL PRESENT. OKAY.

9 CHAIRMAN TORRES: HOW CLOSE ARE WE TO A
10 QUORUM?

11 MS. DEQUINA-VILLABLANCA: WE ARE AT ONE
12 SHORT OF QUORUM.

13 CHAIRMAN TORRES: OKAY. WELL, WITH THE
14 PERMISSION OF THE GROUP, I INTEND TO PROCEED SINCE
15 WE ARE NOT GOING TO BE TAKING ANY VOTES DURING THIS
16 MEETING. I THINK WE ARE NOT GOING TO NEED A QUORUM,
17 BUT IT'S JUST GOOD TO HAVE ONE JUST TO CONDUCT
18 BUSINESS.

19 THIS IS MY LAST MEETING AS YOUR CHAIR. I
20 AM TERMED OUT AFTER 13 YEARS OF SERVICE TO CIRM ON
21 JANUARY 26TH ALONG WITH MY ESTEEMED CHAIRMAN,
22 JONATHAN THOMAS, WHOM I HAVE ENJOYED SERVING WITH
23 THROUGHOUT THAT PERIOD OF TIME. IT'S BEEN QUITE AN
24 INSPIRING EXPERIENCE, AND ALSO, OF COURSE, SERVING
25 WITH DR. MILLAN AND MARIA BONNEVILLE AND OTHERS WHO

BETH C. DRAIN, CA CSR NO. 7152

1 ARE ON THIS PAGE, INCLUDING SCOTT AND GEOFF LOMAX.

2 I JUST WANT TO THANK YOU ALL FOR YOUR SERVICE.

3 I'M VERY MINDFUL THAT IT'S A FRIDAY
4 AFTERNOON. SO WE'RE GOING TO PROCEED AHEAD AND GO
5 INTO OUR REPORTS. I'M GOING TO SHIFT IT NOW TO SEAN
6 TURBEVILLE, WHO WILL BE INTRODUCING OUR SPEAKERS,
7 WHICH I THINK IS GOING TO BE A VERY INFORMATIVE
8 PRESENTATION. THANK YOU, SEAN.

9 DR. TURBEVILLE: ALL RIGHT. THANK YOU,
10 VICE CHAIRMAN, MR. CHAIRMAN, EVERYBODY. GOOD
11 AFTERNOON. THERE'S TWO PRESENTATIONS TODAY. I'M
12 GOING TO PUNT IT OVER TO OUR VICE PRESIDENT OF
13 CLINICAL, ABLA, WHO'S GOING TO GIVE A PORTFOLIO
14 OVERVIEW. AND THEN AFTER THAT I WILL PRESENT AN
15 UPDATE FROM THE AAWG.

16 CHAIRMAN TORRES: DR. CREASEY.

17 DR. CREASEY: HERE WE GO.

18 CHAIRMAN TORRES: START OVER.

19 DR. CREASEY: CAN YOU SEE MY SLIDES?

20 CHAIRMAN TORRES: WE SEE YOUR SLIDES. WE
21 JUST COULD NOT HEAR YOU BECAUSE YOU WERE ON MUTE.

22 DR. CREASEY: OKAY. THANK YOU. WELL,
23 GOOD AFTERNOON, EVERYONE. MY NAME IS ABLA CREASEY.
24 I'M IN THERAPEUTICS DEVELOPMENT AT CIRM. I'M GOING
25 GIVE YOU TODAY A BRIEF PUBLIC OVERVIEW OF OUR ACTIVE

BETH C. DRAIN, CA CSR NO. 7152

1 CLINICAL TRIAL PORTFOLIO. IT COVERS THE CLINICAL
2 TRIALS GRANTS THAT ARE CURRENTLY IN OUR ACTIVE
3 INVOLVEMENT AND HAVE WORKED IN COLLABORATION, CLOSE
4 COLLABORATION, WITH THE FDA AND HAD APPLIED TO CIRM
5 FOR FUNDING.

6 MY GOAL TODAY REALLY IS NOT TO JUST GIVE
7 YOU A DOWNPOUR OF WHAT WE DO, BUT REALLY TO
8 FAMILIARIZE YOU WITH OUR CLINICAL PORTFOLIO IN A
9 PUBLIC FORUM SO YOU HAVE A FEEL FOR HOW IT LOOKS
10 LIKE.

11 SO WE ALWAYS START OUR PRESENTATION WITH
12 THE CIRM MISSION, ACCELERATING WORLD-CLASS SCIENCE
13 TO DELIVER TRANSFORMATIVE REGENERATIVE MEDICINE
14 TREATMENTS IN AN EQUITABLE MANNER TO A DIVERSE
15 CALIFORNIA AND WORLD.

16 SO I'M GOING TO CONCENTRATE ON THE MIDDLE
17 PART OF THE SLIDE, WHICH IS ADVANCING THERAPIES TO
18 MARKETING APPROVAL UNDER DELIVER REAL-WORLD
19 SOLUTIONS. MY TEAM AND I, THAT IS, THE THERAPEUTICS
20 DEVELOPMENT TEAM, MANAGE TRANSLATION AND CLINICAL
21 GRANTS. CIRM HAS BEEN SUCCESSFUL IN ATTRACTING AND
22 DERISKING PROJECTS IN EARLY STAGE DEVELOPMENT,
23 PREPARING THEM FOR A PRE-IND MEETING OR CONDUCTING
24 IND-ENABLING STUDIES AND THEN PROGRESSING TO EARLY
25 CLINICAL DEVELOPMENT. SOME ARE PROGRESSING TO MID

BETH C. DRAIN, CA CSR NO. 7152

1 TO LATE CLINICAL DEVELOPMENT, AND I WILL COVER LATER
2 IN THE PRESENTATION WHICH OF THOSE THAT ARE LATER IN
3 THE DEVELOPMENT.

4 AS YOU SEE, MUCH OF THE PORTFOLIO IS
5 CURRENTLY IN EARLY CLINICAL DEVELOPMENT. AND THE
6 TRANSLATION CLINICAL GRANTS ARE CONCENTRATED IN
7 THREE MAIN THERAPEUTIC AREAS: NEUROLOGY, THE GREEN
8 PART; ONCOLOGY, AND THAT COVERS THE BLOOD AND SOLID
9 CANCERS; HEMATOLOGICAL DISORDERS, SUCH AS SICKLE
10 CELL AND THALASSEMIA.

11 MY TEAM AND I REACH OUT TO ACADEMICS AND
12 FOR-PROFIT ORGANIZATIONS AND ENCOURAGE THEM TO APPLY
13 AND FREQUENTLY ADVISE THEM AND CONSULT FOR THEM IN
14 GRANT PROPOSAL ASSEMBLY.

15 THE GRADUAL GROWTH OF THE CLINICAL
16 PORTFOLIO IS SHOWN ON THIS SLIDE. WE WERE ABLE TO
17 ATTRACT AND FUND 86 CLINICAL GRANTS IN SEVERAL
18 THERAPEUTIC AREAS BY THE END OF 2022. OVER 50
19 PERCENT OF OUR FUNDED CLINICAL TRIALS ARE PARTNERED
20 WITH INDUSTRY.

21 I'M GOING TO GO THROUGH THE NEXT FOUR
22 SLIDES QUICKLY TO JUST -- AGAIN, YOU CAN ACTUALLY
23 VIEW THAT KIND OF DATA ON OUR WEBSITE. I JUST
24 WANTED TO MAKE A COUPLE OF POINTS.

25 THERE ARE CURRENTLY 30 ACTIVE PHASE 1

BETH C. DRAIN, CA CSR NO. 7152

1 TRIALS. FIFTEEN OF THEM ARE SHOWN ON THIS SLIDE.
2 PATIENT NUMBER, TARGETED ENROLLMENT, PATIENT NUMBER
3 IS THE LAST COLUMN. SO KEEP AN EYE ON THAT. HERE'S
4 THE NEXT 15 PHASE 1 TRIALS. MOST OF THE TRIALS TEND
5 TO INCLUDE A RELATIVELY SMALL NUMBER OF PATIENTS AND
6 ARE IN DIVERSE THERAPEUTIC AREAS AS SEEN BY THE PIE
7 CHART AND ALSO DEMONSTRATING WITH THESE TABLES.

8 THERE ARE CURRENTLY 12 PHASE 1-2 ACTIVE
9 TRIALS PER AGREEMENT WITH THE FDA. THESE TRIALS ARE
10 DESIGNED TO ASSESS SAFETY AND EARLY OR PRELIMINARY
11 EVIDENCE OF EFFICACY. FOUR OF THE CLINICAL TRIALS
12 ARE IN PHASE 2, TWO IN OPHTHALMOLOGY, ONE IN
13 INFECTIOUS DISEASE, AND ONE IN SICKLE CELL ANEMIA.
14 IF I'M HURRYING, PLEASE STOP ME BECAUSE I WOULD LIKE
15 YOU TO ABSORB SOME OF THIS INFORMATION.

16 ALL ARE IN DIFFERENT ADVANCEMENT STAGES.
17 SEVERAL OF OUR GRANTS PROGRESS FROM DISCOVERY TO
18 TRANSLATION TO CLIN1 AND CLIN2. I'M JUST GOING TO
19 GIVE YOU AN EXAMPLE LIKE WHY DO WE HAVE TWO
20 OPHTHALMOLOGY GRANTS FOR DR. HENRY KLASSEN. YOU CAN
21 SEE HERE HE'S HAD HIS PROGRAM WITH US SINCE
22 DISCOVERY, TRANSLATION, AND ALSO NOW HAS HAD TWO
23 CLINICAL GRANTS TO ADVANCE THE PRODUCT. THEY COME
24 IN AT DIFFERENT TIMES AND THEY HAPPEN TO OVERLAP IN
25 TERMS OF ACTIVITY.

BETH C. DRAIN, CA CSR NO. 7152

1 ADVANCING THE PORTFOLIO IS A DYNAMIC
2 PROCESS THAT ENGAGES THE GRANTEES, CIRM, ALMOST ALL
3 THE PARTS OF CIRM, PATIENT REPRESENTATIVES, AS WELL
4 AS EXTERNAL EXPERTS. WE DO THAT THROUGH CLINICAL
5 ADVISORY PANELS THAT WE DEARLY CALL CAP'S. THE
6 PURPOSE OF THE CAP IS TO PROVIDE GUIDANCE AND ADVICE
7 TO THE PROJECT TEAM. THE CAP IS ASSEMBLED BY CIRM
8 FOR EACH CLINICAL STAGE AWARD. THAT PROCESS STARTED
9 BEFORE I JOINED CIRM IN 2016, BUT WE EXECUTED ON IT
10 STARTING 2016. MULTIPLE CAP MEETINGS OCCUR OVER A
11 LIFETIME OF A PROGRAM AWARD. WE FOLLOW EACH OF THE
12 PROGRAMS CLOSELY.

13 YOU CAN TELL THAT THE NUMBERS OR
14 STATISTICS LOOK RATHER IMPRESSIVE ON THE SLIDE WITH
15 THE NUMBER OF CAP'S, HOW MANY ADVISORS, THE PATIENT
16 REPRESENTATIVES. THE QUESTION THAT I'M SURE YOU'RE
17 ASKING: DO THESE CAP'S MAKE ANY DIFFERENCE? THE
18 ANSWER IS YES AS MEASURED BY WHETHER THE CHALLENGES
19 THOSE GRANTEES ENCOUNTER WERE RESOLVED OR NOT. DID
20 THEY RECEIVE THE REGULATORY DESIGNATION THEY WANTED?
21 IN GENERAL, THE CLINICAL ADVISORY PANELS HAVE BEEN
22 SUCCESSFUL IN ASSISTING THE GRANTEES IN OVERCOMING
23 WHAT YOU SEE HERE ON THE OUTCOMES: MANUFACTURING
24 CHALLENGES, CLINICAL TRIAL DESIGN OPTIMIZATION,
25 ENROLLMENT ENHANCEMENT, REGULATORY ADVICE PROVIDED

BETH C. DRAIN, CA CSR NO. 7152

1 BEYOND WHAT THEY GET FROM THE FDA AND OTHERWISE,
2 PARTNERING FACILITATED, AND THE DEVELOPMENT PATH
3 ESSENTIALLY DELINEATED WITH OUR HELP.

4 SO NOW I'M GOING TO GIVE YOU A BRIEF
5 DESCRIPTION OF THE CURRENT MOST ADVANCED CLINICAL
6 TRIALS IN OUR PORTFOLIO THAT HAVE HAD CLOSE
7 INTERACTIONS WITH THE FDA AND SOME OF THEM EVEN WITH
8 THE AMEA.

9 SO I DON'T REPEAT MYSELF, SIX OUT OF THE
10 EIGHT GRANTS THAT I'M GOING TO SHARE WITH YOU HAVE
11 RECEIVED AN ACCELERATED DESIGNATION FROM THE FDA,
12 WHETHER IT IS THE RMAT, WHICH IS REGENERATIVE
13 MEDICINE ADVANCED THERAPY, OR BREAKTHROUGH. AND
14 THAT'S WHAT I CALL CLOSE INTERACTIONS WITH THE
15 REGULATORS, AND WITH OTHERS WE KNOW THEY HAVE
16 ONGOING CONVERSATIONS THAT THEY HAVE NOT YET BEEN
17 AWARDED THE ACCELERATED APPROVAL DESIGNATION.

18 SO WE'RE GOING TO START WITH A CELL
19 THERAPY FOR RETINITIS PIGMENTOSA. THIS PROGRAM IS
20 PIONEERED BY DR. HENRY KLASSEN AT UC IRVINE. DR.
21 KLASSEN RECEIVED SEVERAL GRANTS FROM US, AS I
22 POINTED OUT EARLIER. THE PHASE 2 STUDY IDENTIFIED A
23 BIOMARKER THAT IS GOING TO BE HELPFUL IN THE DESIGN
24 OF THE FOLLOW-UP OF A PHASE 3 STUDY.

25 ON THIS SLIDE YOU SEE ONE OF HIS PATIENTS,

BETH C. DRAIN, CA CSR NO. 7152

1 ROSIE BARRERO, WHO'S ONE OF THE FIRST PATIENTS WHO
2 RECEIVED ONE INJECTION OF THIS THERAPY. ROSIE CAN
3 NOW SEE HER KIDS -- SHE WASN'T ABLE TO SEE HER
4 CHILDREN -- DRIVE A CAR, AND SHE LIVES A NORMAL
5 LIFE.

6 GENE THERAPY FOR PATIENTS WHO LACK THE
7 ENZYME ADENOSINE DEAMINASE AND END UP WITH A SEVERE
8 COMBINED IMMUNODEFICIENCY SYNDROME, WHICH WE ALSO
9 USE THE ACRONYM, SCID BUBBLE BABY DISEASE, IS A
10 PROGRAM THAT'S PIONEERED BY DR. DON KOHN OF UCLA.
11 DON ENROLLED 50 PATIENTS WITH ADA-SCID, 30 IN THE
12 U.S. AND 20 IN THE UK. DATA FROM THE TWO U.S.
13 STUDIES THAT HE'S DONE, 24 MONTHS OF FOLLOW-UP WERE
14 ANALYZED ALONGSIDE DATA FROM THE UK STUDY THAT WAS
15 FOLLOWED UP TO 36 MONTHS SHOWED THAT THE CHILDREN
16 ARE DOING VERY WELL AND THAT THE TREATMENT LED TO
17 RESOLUTION OF THE DISEASE FOR MOST PATIENTS, MOST
18 CHILDREN.

19 AND HERE YOU SEE ONE OF THE U.S. PATIENTS,
20 EVIE, WHO WAS TREATED AT AGE TWO. SHE'S JUMPING
21 HAPPILY AND ALSO LIVING A NORMAL LIFE. THE
22 RESUMPTION OF THIS CLINICAL TRIAL BY DR. KOHN IS IN
23 PROGRESS. HE'S BEEN WAITING, BUT A RECENT GO AHEAD
24 BY THE FDA. RECRUITMENT FOR THE TRIAL STARTED WITH
25 ENROLLMENT BEGINNING VERY SOON.

BETH C. DRAIN, CA CSR NO. 7152

1 THE NEXT TRIAL IS GENE THERAPY FOR
2 PATIENTS WHO LACK A GENE CALLED CD 18 THAT LEADS TO,
3 AGAIN, IMMUNE DYSFUNCTION. AND THE CHILDREN SUFFER
4 CHRONIC BACTERIAL AND VIRAL INFECTIONS. DR. PATEL
5 OF ROCKET PHARMA IS THE PRINCIPAL INVESTIGATOR WITH
6 THIS GRANT. THEY'VE TREATED NINE PATIENTS AND ALL
7 ARE DOING WELL WITH A DEMONSTRATED BENEFIT AND
8 SURVIVAL, DECREASED HOSPITALIZATION, AND RESOLUTION
9 OF VARIOUS OTHER DISEASE-RELATED ISSUES SUCH AS
10 SKIN. AGAIN, THEIR SKIN GOT BETTER, NO RASHES.

11 HERE IS ONE OF THE PATIENTS, MARLEY, WHO
12 IS NOW LIVING ALSO A NORMAL LIFE WITH MINIMAL
13 COMPLICATIONS. ROCKET PHARMA HAS INITIATED
14 DISCUSSIONS WITH THE REGULATORS REGARDING FILING
15 PLANS FOR THEIR PRESS RELEASE.

16 CELL THERAPY FOR KIDNEY TRANSPLANTS IS
17 ANOTHER EXCITING PROJECT IS A GRANT AWARDED TO
18 MEDEOR, A CALIFORNIA BIOTECH COMPANY THAT WAS
19 STARTED BY THE LATE DR. SAM STROBER OF STANFORD.
20 DR. BRENNAN IS THE PI OF THIS GRANT. THE GOAL OF
21 THIS KIDNEY TRANSPLANT PROJECT IS TO INDUCE
22 TOLERANCE OF A KIDNEY TRANSPLANT BY GIVING THE ORGAN
23 RECIPIENT HEMATOPOIETIC STEM CELLS FROM THE DONOR.
24 THE ORGAN IS PROTECTED BY STANDARD
25 IMMUNOSUPPRESSION, WHICH IS REALLY STANDARD

BETH C. DRAIN, CA CSR NO. 7152

1 PROTOCOL, FOR APPROXIMATELY SIX MONTHS. AND THIS
2 IMMUNOSUPPRESSION ALSO PROTECTS THE PROVIDED DONOR
3 CELLS THAT ARE HEMATOPOIETIC STEM CELLS, WHICH WE
4 CALL THE GRAFT. THE DONOR T-CELLS PROVIDE TRANSIENT
5 CHIMERISM WHICH BECOMES LONG TERM IF THE PROCEDURE
6 IS SUCCESSFUL. WHEN THE HEMATOPOIETIC STEM CELLS
7 ENGRAFT FOR ESSENTIALLY EVER, THE PATIENT GETS
8 IMMUNOSUPPRESSION -- HE GETS OFF OF IMMUNE
9 SUPPRESSION DRUGS.

10 THE STUDY DID A PLACEBO CONTROL AND
11 TREATED PATIENTS, 20 TREATED AND 10 CONTROLS. THE
12 PATIENTS ARE TO BE FOLLOWED FOR TWO YEARS. THAT'S
13 THE STAGE BY WHICH THIS GRANT IS AT, THE STAGE OF
14 FOLLOW-UP OF ALL THOSE 30 PATIENTS.

15 THIS NEXT GRANT DEALS WITH A MONOCLONAL
16 ANTIBODY THAT DEPLETES BLOOD STEM CELLS AND ENABLES
17 CHEMOTHERAPY-FREE TRANSPLANTS IN PATIENTS WITH
18 SEVERE COMBINED IMMUNODEFICIENCY. THIS IS BEING
19 DEVELOPED BY DR. WANG AND COLLEAGUES OF JASPER
20 THERAPEUTICS.

21 PATIENTS WITH THE BUBBLE BOY DISEASE,
22 THAT'S THE WAY THEY DESCRIBE IT, WHICH IS, AGAIN,
23 ANOTHER SCID GRANT, CANNOT FIGHT INFECTIONS BECAUSE
24 THEIR STEM CELLS DO NOT MAKE IMMUNE CELLS, IMMUNE
25 T-CELLS AND B-CELLS.

BETH C. DRAIN, CA CSR NO. 7152

1 THE HUMANIZED MONOCLONAL ANTIBODY RESULTS
2 IN A NICHE SPACE IN THE BONE MARROW WHICH GETS
3 REPOPULATED, INTERESTINGLY, BY THE TRANSPLANT OF
4 NORMAL DONOR STEM CELLS, RESULTING IN NORMAL LEVELS
5 OF T AND B LYMPHOCYTES THAT CURE THEM OF THEIR
6 DISEASE. USING THIS ANTIBODY LED TO ACTUALLY DONOR
7 ENGRAFTMENT, NAIVE LYMPHOCYTE PRODUCTION, AND
8 CLINICAL BENEFIT IN PATIENTS WITH THE BUBBLE DISEASE
9 AND OF DIFFERENT MOLECULAR DEFICIENCIES. SO THEY'RE
10 NOT DEFICIENT BECAUSE OF ONE ANTIGEN OR ANOTHER.
11 THEY TRY MULTIPLE. SEVERAL PATIENTS HAVE BEEN
12 TREATED TO DATE.

13 THIS GRANT THAT YOU SEE ON YOUR SLIDE IS
14 THE ENDOTHELIAL -- ADMINISTRATION OF ENDOTHELIAL
15 CELLS. THIS IS REPLETIVE MEASURE WHICH IS A VERY
16 INTERESTING NOTION AS A THERAPEUTIC TO LYMPHOMA
17 PATIENTS UNDERGOING HIGH-DOSE CHEMOTHERAPY AND
18 AUTOLOGOUS HEMATOPOIETIC CELL TRANSPLANTATION. THIS
19 PROGRAM IS LED DR. PAUL FINNEGAN OF ANGIOCRINE.

20 THE ENDOTHELIAL CELLS ARE GIVEN TO REDUCE
21 THE DAMAGE CAUSED BY THE CHEMOTHERAPY TO THE HEALTHY
22 BYSTANDER TISSUES AND REDUCE SEVERE REGIMEN-RELATED
23 TOXICITIES WHICH ARE SERIOUS AND AT TIMES
24 LIFE-THREATENING MORBIDITIES.

25 MANAGING THE CHEMOTHERAPY GREATLY IMPACTS

BETH C. DRAIN, CA CSR NO. 7152

1 THE QUALITY OF LIFE OF THE PATIENTS, BOTH THE SHORT
2 AND LONG-TERM. THE GRANT COMPLETED A PHASE 1-2
3 STUDY THAT SHOWED PRELIMINARY SAFETY AND EFFICACY IN
4 THIS APPROACH. THAT'S THE RATIONALE FOR WHY THEY
5 GOT THE RMAT DESIGNATION, AS I TOLD YOU. AND THE
6 STUDY NOW IS IN A PHASE 3 WHICH WAS RECENTLY
7 SUBMITTED TO CIRM AND WAS RECENTLY APPROVED BY THE
8 ICOC, AND THE TRIAL IS ALREADY ON ITS WAY.

9 CELL THERAPY FOR SPINA BIFIDA, WHICH IS
10 BEING DEVELOPED BY DR. DIANA FARMER OF UC DAVIS.
11 THE TREATMENT OF THE BABY TAKES PLACE WHEN THE BABY
12 IS IN THE WOMB. DR. FARMER HAS PIONEERED DOING WORK
13 IN THIS AREA. SHE HAS PUBLISHED LIKE IN 2004, I
14 THINK, THE TRIAL, THE MOMS TRIAL, WHERE SHE
15 SHOWED -- I'M SORRY -- THE ACTUAL MEMBRANE PATCH,
16 BUT SHE HAS COME UP WITH A HYPOTHESIS THAT THEY GET
17 BETTER TREATMENT WITH THE PATCH IN ADDITION TO THE
18 CELLS. SO THE TEAM IS USING UNBANKED PLACENTAL
19 MESENCHYMAL CELLS OF AN FDA-APPROVED AMNIOTIC
20 MEMBRANE PATCH AS A TREATMENT. THE RESULTS ARE
21 PROMISING WITH THREE INFANTS TREATED THUS FAR. THIS
22 PILOT TRIAL IS IN PROGRESS AND IS ENROLLING WELL,
23 AIMING FOR A TOTAL OF SIX PATIENTS.

24 HERE'S BABY TOBY WITH HIS MOM AND DAD, MOM
25 MICHELLE AND DAD JEFF. THE BABY ACTUALLY CAN MOVE

BETH C. DRAIN, CA CSR NO. 7152

1 HIS LEGS AND DOING WELL, WHICH IS, AGAIN, A GOOD
2 SIGN.

3 THE LAST GRANT I'M GOING TO DISCUSS WITH
4 YOU OR SHOW YOU THE INFORMATION ABOUT IS DR.
5 WILLIAMS OF BOSTON CHILDREN'S HOSPITAL. HE'S
6 CONDUCTING A SICKLE CELL DISEASE TRIAL IN ADULTS
7 USING THE PATIENT'S OWN STEM CELLS GENETICALLY
8 ENGINEERED TO REDUCE SICKLE HEMOGLOBIN STABLY AND
9 PERMANENTLY AND SIMULTANEOUSLY USING FETAL
10 HEMOGLOBIN RED CELLS OF THE AFFECTED INDIVIDUAL.

11 THIS TRIAL IS PART OF THE CIRM/NHLBI,
12 WHICH IS THE NATIONAL HEART LUNG BLOOD INSTITUTE
13 COLLABORATION. THE TRIAL IS RECRUITING PATIENTS IN
14 CALIFORNIA AND OTHER U.S. SITES. MANUFACTURING OF
15 THE CELLS IS ONGOING IN CALIFORNIA FOR THE
16 CALIFORNIA PATIENTS.

17 SO IN CONCLUSION, THE TRANSLATION AND
18 CLINICAL PORTFOLIO IS DIVERSE, COVERS MULTIPLE
19 THERAPEUTIC AREAS. SEVERAL OF THE CLINICAL GRANTS
20 ARE EARLY DEVELOPMENT CONSISTENT WITH CIRM DERISKING
21 DEVELOPMENT. THE CLINICAL ADVISORY PANELS RESOLVED
22 SEVERAL TECHNICAL, REGULATORY, AND STRATEGIC
23 CHALLENGES, AND FACILITATED PARTNERSHIPS. EIGHT
24 GRANTS PROGRESSED TO LATER STAGE DEVELOPMENT WORKING
25 CLOSELY WITH US AND THE FDA WITH HALF REPEAT OF THE

BETH C. DRAIN, CA CSR NO. 7152

1 GRANTS OVER SEVERAL YEARS. HALF OF THE GRANTS
2 REPEATED GRANTS OVER SEVERAL YEARS FOR THE SAME
3 PROJECT.

4 I'M GOING TO LEAVE YOU WITH EVIE, THE
5 CHILD WHO YOU SAW JUMPING IN THE AIR. HERE SHE
6 IS -- YOU CAN SEE -- THE ADA-SCID THERAPY NINE YEARS
7 OR SO AGO. THIS TIME YOU SEE HER JUMPING OR --
8 SORRY -- SURFING AND ENJOYING A NORMAL LIFE. SHE'S
9 REALLY A ROLE MODEL FOR ALL THE OTHER TRIALS AND ALL
10 THE OTHER PATIENTS. WITH THAT, I CONCLUDE AND THANK
11 YOU.

12 CHAIRMAN TORRES: THANK YOU VERY MUCH,
13 ABLA. I'LL TURN IT OVER TO SEAN. BUT JUST TWO
14 THINGS. IT WAS SO INSPIRING I WAS BROUGHT TO TEARS
15 WHEN THOSE CHILDREN RAN INTO OUR BOARDROOM AFTER WE
16 HAD HEARD OF THEIR EXCITING DEVELOPMENT AND
17 OVERCOMING THIS. THANK YOU TO DR. KOHN AND TO THE
18 DOCTORS AT UCLA.

19 SECONDLY, IT'S PARTICULARLY INSPIRING FOR
20 ME BECAUSE THE BARRERO FAMILY, ROSIE BARRERO, AND
21 HER FAMILY WERE LONGTIME CONSTITUENTS OF MINE IN MY
22 SENATE DISTRICT. AND I HADN'T SEEN THEM FOR YEARS
23 UNTIL THEY APPEARED AT THE FIRST HEARING FOR THE
24 FIRST GRANT TO DR. KLASSEN FOR THE PIGMENTOSA TRIAL.
25 AND THERE SHE WAS BARELY ABLE TO SEE AND THEN

BETH C. DRAIN, CA CSR NO. 7152

1 RETURNING BACK, BEING TOTALLY ABLE TO RECOGNIZE ME
2 FROM A DISTANCE. SO FOR ME THOSE MIRACLES HAVE JUST
3 BEEN VERY INSPIRING. THANK YOU. SEAN.

4 DR. TURBEVILLE: THANK YOU, SENATOR.
5 BEFORE I MOVE ON, I JUST WANT TO -- FIRST, ABLA,
6 THANK YOU. THAT WAS WONDERFUL. AND HATS OFF TO YOU
7 AND YOUR TEAM FOR PUTTING THAT MATERIAL TOGETHER.

8 I DO HAVE ONE QUESTION. AND THAT IS DO WE
9 WANT TO PAUSE HERE FOR ANY QUESTIONS FOR ABLA BEFORE
10 WE MOVE OVER TO THE ACCESS AND AFFORDABILITY?

11 DR. HIGGINS: I'D LIKE TO ASK A QUESTION,
12 BUT I CAN'T GET MY HAND TO RAISE.

13 DR. CREASEY: GO AHEAD, DAVID. YOU DON'T
14 HAVE TO RAISE.

15 DR. HIGGINS: OKAY. THANK YOU. I AGREE.
16 ABLA, THAT WAS ONE OF THE MOST COHERENT
17 PRESENTATIONS I'VE EVER HEARD OF OUR PORTFOLIO. I
18 HAVE A QUESTION THAT REALLY IS FOR DR. MILLAN OR DR.
19 CREASEY. AND THAT IS, AT THIS STAGE IN CIRM'S LIFE
20 SPAN, ARE WE WHERE WE WANT TO BE OR WHERE WE SHOULD
21 BE? ARE WE AHEAD? ARE WE BEHIND? GIVEN WHAT WE
22 JUST HEARD FROM ABLA, HOW DO WE SCORE, IF YOU WILL,
23 OUR PROGRESSION? THAT'S ONE. I HAVE A SECOND
24 QUESTION TO FOLLOW UP.

25 DR. CREASEY: I CAN TRY TO GIVE YOU AN

BETH C. DRAIN, CA CSR NO. 7152

1 ANSWER. I'M SURE DR. MILLAN WILL HAVE HERS TOO.

2 SO HAVING BEEN AT CIRM SINCE WE WERE
3 CHARGED WITH RECRUITING 50 NEW CLINICAL TRIALS, AND
4 WE DID 51, WE HAVE COME TO APPRECIATE THE IMPORTANCE
5 OF BRINGING -- ADDING VALUE AND BRINGING, AGAIN,
6 KEEPING PATIENTS FRONT AND CENTER AND HOW WE'RE
7 GOING TO MAKE THOSE TRIALS AND THOSE TREATMENTS TO
8 REACH ALL THE WAY TO APPROVAL.

9 PART OF THE FIVE-YEAR STRATEGIC PLAN WAS
10 FOR US, AS I SHOWED YOU, IS TO GET SOME OF THESE
11 PROGRAMS TO MOVE CLOSER IN DEVELOPMENT TO THE
12 APPROVAL STAGE. AND SO WE ARE ON OUR WAY. WE ARE
13 NOT THERE YET, BUT WE ARE HOPING WITH THE FIVE-YEAR
14 TERM OF THE STRATEGIC PLAN THAT THINGS WILL PROGRESS
15 TOWARDS THAT.

16 DR. HIGGINS: CAN I FOLLOW UP ON A QUICK
17 QUESTION? ONE IS VERY SPECIFIC. IS THERE ANYTHING
18 THAT THE BOARD CAN PROVIDE FOR YOU, DO FOR YOU, GET
19 REMOVED FROM YOUR PATH OF OBSTRUCTION THAT WE SHOULD
20 KNOW ABOUT FROM YOU?

21 DR. CREASEY: DAVID, YOU'RE VERY INTUITIVE
22 AND SMART BECAUSE WE ARE THINKING ALONG THOSE LINES,
23 BUT I'M GOING TO LEAVE THAT UP TO DR. MILLAN TO TALK
24 ABOUT.

25 DR. HIGGINS: OKAY. MY LAST QUESTION,

BETH C. DRAIN, CA CSR NO. 7152

1 WHICH COULD BE FOR YOU, DR. CREASEY, OR DR. MILLAN.
2 LET'S SEE. NO. NO. NO. I'M SORRY. THIS IS
3 DIRECTED TOWARDS ART AND J.T. IF HE'S STILL THERE.
4 AND THAT IS WHAT PARTING WORDS OF WISDOM, ART, J.T.,
5 WOULD YOU HAVE FOR THIS SPECIFIC GROUP AT CIRM NOW
6 THAT YOU'RE GOING TO BE LOOKING AT IT FROM THE
7 OUTSIDE IN?

8 CHAIRMAN TORRES: WELL, FIRST OF ALL,
9 HAVING WRITTEN IT INTO THE INITIATIVE FOR 2020, I
10 HAVE AND STILL HAVE TREMENDOUS EXPECTATIONS BECAUSE
11 WE PUT TOGETHER AN INCREDIBLE GROUP. LOOK AT YOUR
12 PEOPLE. YOU'RE INCREDIBLE. SO MANY WONDERFUL
13 RESUMES, EXPERIENCES, HISTORY IN THE FIELD IN TERMS
14 OF PATIENT ADVOCATES, IN TERMS OF SCIENTISTS, IN
15 TERMS OF EDUCATORS, IN TERMS OF DOCTORS. I'M SO
16 PROUD TO HAVE WORKED WITH YOU AND SO PROUD THAT YOU
17 AGREED TO SERVE ON THIS WORKING GROUP BECAUSE IT IS
18 THE INAUGURAL STEP AS WE MOVE FORWARD TO MAKE
19 ACCESSIBILITY AND AFFORDABILITY FOR PATIENTS A
20 PRIORITY.

21 THE OTHER IS WE INTEND TO WORK VERY
22 CLOSELY WITH THE GOVERNOR'S OFFICE, WHO I'VE BEEN IN
23 TOUCH MANY TIMES ALREADY, AS THEY BEGIN TO ESTABLISH
24 THEIR OFFICE OF AFFORDABILITY, WHICH WAS CREATED BY
25 THE LEGISLATURE AND SIGNED BY GAVIN IN LATE

BETH C. DRAIN, CA CSR NO. 7152

1 SEPTEMBER, WHICH WILL CREATE AN OFFICE OF
2 AFFORDABILITY WITHIN STATE GOVERNMENT. THAT LENDS
3 MORE CREDENCE TO WHAT WE ARE DOING ON A
4 CONSTITUTIONAL BASIS WITH OUR INITIATIVE PASSED BY
5 THE VOTERS IN 2020.

6 SO MY EXPECTATIONS ARE VERY HIGH, AND I
7 INTEND TO STAY IN TOUCH WITH AS MANY OF YOU AS I CAN
8 EVEN ON AN INFORMAL BASIS. J.T.

9 CHAIRMAN THOMAS: THANK YOU, SENATOR.

10 SO I WOULD SAY ADVICE GOING FORWARD. SO I
11 THINK WE ARE IN THE PROCESS OF WORKING ON DEVELOPING
12 A STRATEGIC PLAN FOR THIS WORKING GROUP. AND THAT'S
13 SORT OF A FIRST PRIORITY, TO GET THAT IN PLACE SO
14 THAT ALL OF THE CONCEPTS THAT DERIVE FROM THAT CAN
15 REFER BACK TO THAT AS WE GO ALONG HERE AND TO TRY TO
16 DO THAT, AS THEY SAY IN THE LEGAL PROFESSION, ALL
17 DELIBERATE SPEED, WHICH MEANS AS SOON AS WE CAN
18 BECAUSE THESE ISSUES ARE VERY PRESSING. THERE ARE
19 GOING TO BE THINGS THAT ARE NOT SIMPLE TO RESOLVE.
20 THE ACCESSIBILITY ISSUES ON HOW WE'RE GOING TO REACH
21 ALL THE CITIZENS OF CALIFORNIA PRESENT A DEFINITE
22 LOGISTICAL CHALLENGE THAT'S GOING TO REQUIRE A LOT
23 OF WORK AND ADVICE FROM THIS GROUP.

24 THE AFFORDABILITY ISSUES ARE EVEN TRICKIER
25 BECAUSE THERE ARE LOTS OF VARIABLES TIED UP IN THAT

BETH C. DRAIN, CA CSR NO. 7152

1 FOR WHICH WE DON'T REALLY HAVE ANY CONTROL, THAT ARE
2 MARKET CONTROLLED, PAYOR CONTROLLED, AND THAT SORT
3 OF THING. SO WE HAVE TO FIGURE OUT EXACTLY WHAT THE
4 ROLE IS THAT WE CAN PLAY TO ADVANCE THE BALL AS WE
5 PROCEED ON THE AFFORDABILITY FRONT.

6 I WANT TO THANK SENATOR TORRES FOR HIS
7 WORK AS THE INAUGURAL, INIMITABLE CHAIR OF THIS
8 WORKING GROUP AND GETTING THINGS GOING HERE. THANK
9 YOU, ART, FOR ALL YOUR HARD WORK ON THAT. I'M GOING
10 TO SAVE COMMENTS ON THE GRANDER SCALE FOR YOUR MANY
11 YEARS ON CIRM FOR A LATER BOARD MEETING. BUT I WANT
12 TO ALSO LET THE WORKING GROUP KNOW THAT OUR NEW VICE
13 CHAIRMAN ELECT, MARIA BONNEVILLE, WHO IS ON THE CALL
14 HERE, WHO WILL BE SWORN IN ON JANUARY 26TH, WILL BE
15 THE NEW CHAIR OF THE WORKING GROUP, FILLING THE
16 LARGE SHOES OF SENATOR TORRES. AND SO SHE WILL BE
17 LEADING THESE MEETINGS FROM THIS POINT ONWARD.

18 SO THOSE ARE MY THOUGHTS. I DO WANT TO
19 ECHO ART'S COMMENT THAT THE CALIBER OF ALL OF YOU
20 WHO ARE ON THIS CALL AND A COUPLE OF THOSE, WE DON'T
21 QUITE HAVE EVERYBODY HERE, BUT WHEN YOU TAKE A LOOK
22 AT THIS GROUP, IT IS JUST EXCEPTIONALLY COMPETENT,
23 BRINGING MANY DIFFERENT SKILL SETS TO THE TABLE.
24 AND I'M SURE YOU WILL HAVE TREMENDOUS INPUT AS THE
25 WORKING GROUP PROCEEDS ALONG FOR THE BENEFIT OF THE

BETH C. DRAIN, CA CSR NO. 7152

1 PATIENTS OF CALIFORNIA. SO THANK YOU ALL VERY MUCH.

2 CHAIRMAN TORRES: SEAN.

3 DR. TURBEVILLE: I THINK TED GOLDSTEIN IS
4 NEXT.

5 DR. GOLDSTEIN: HI THERE. CAN YOU HEAR
6 ME?

7 DR. CREASEY: YES.

8 DR. GOLDSTEIN: I'M ON A WI-FI IN A
9 BASEMENT OF A WHOLE FOODS, TRYING TO MAKE SURE WE
10 MAINTAIN CONNECTIVITY.

11 SO THANK YOU VERY MUCH. I THOUGHT THE
12 PRESENTATION WAS EXCITING AND INTERESTING AND A GOOD
13 TASTE OF GREATER THINGS TO COME. BUT I WANT TO
14 POINT OUT THAT THIS IS -- A TASTE IS NOT A MEAL.
15 AND WE REALLY NEED, I THINK, AN IN-DEPTH REVIEW OF
16 THE PORTFOLIO OF THE ENTIRE 47 OR 51 -- I'VE HEARD
17 BOTH NUMBERS -- CLINICAL TRIALS THAT ARE ONGOING.
18 AND I BELIEVE WE NEED TO UNDERSTAND THE MONEY AND
19 THE IMPACT OF THESE DISEASES.

20 I THINK THAT THERE'S -- CLEARLY RARE
21 DISEASES ARE VERY USEFUL FOR TEACHING US ABOUT MORE
22 COMPLEX CONDITIONS AND WHERE WE CAN GET COOPERATION
23 FROM THE FDA. BUT IF WE CURED 100 PERCENT OF ALL
24 RARE DISEASES, WE WOULD IMPACT THE STATE NOT ENOUGH
25 TO MEASURE. RIGHT. AND WE REALLY DO NEED TO

BETH C. DRAIN, CA CSR NO. 7152

1 UNDERSTAND THE IMPACT ON MORE COMMON DISEASES THAT
2 AFFECT THE STATE.

3 ONE OTHER THING. YOU HAVE TWO OUTLIERS IN
4 THE SIX YOU MENTIONED BY MY RECKONING, SPINA BIFIDA
5 AND THE SICKLE CELL. I'M SORRY.

6 DR. CREASEY: GO AHEAD. SORRY.

7 DR. GOLDSTEIN: SO THOSE TWO CLEARLY HAVE
8 GREATER IMPACT THAN THE RARE DISEASE COLLECTION.
9 AND IT WOULD BE REALLY GREAT TO SORT OF UNDERSTAND
10 THE POTENTIAL THERE. I BELIEVE THAT THE BIGGEST
11 CRITICISMS PEOPLE HAVE MADE OF CIRM HAVE BEEN WHAT
12 IS THE RETURN ON THE INVESTMENT SO FAR. RIGHT. AND
13 THIS IS TRUE BOTH AT THE AGGREGATE LEVEL AND
14 ESPECIALLY THE AFFORDABILITY LEVEL. AND WE NEED TO,
15 I THINK, INCLUDE IN OUR ANALYSIS. YOU HAVE A
16 BEGINNING OF A GOOD TEMPLATE TO LOOK AT THE
17 CONDITIONS AND WHERE -- IT'S GREAT TO HAVE SOMETHING
18 TO TALK TO, SO ALL POWER TO YOU, RIGHT. IT'S A
19 GREAT FIRST STEP, BUT LET'S ELABORATE THE TEMPLATE
20 WITH NUMBER OF PEOPLE IMPACTED, POTENTIAL NUMBER OF
21 PEOPLE IN CONDITION, WHO HAVE THIS CONDITION, IN THE
22 STATE AND IN THE NATION, IF THERE IS ASYMMETRY
23 THERE. WHAT CAN WE -- WHAT IS THE EXPECTED IMPACT
24 ON THE STATE BOTH IN TERMS OF LIVES AND DOLLARS?

25 I THINK AFFORDABILITY HAS A LOT TO DO WITH

BETH C. DRAIN, CA CSR NO. 7152

1 HOW WE ENABLE PEOPLE TO GAIN ACCESS TO THIS. AND SO
2 THE FETAL CELL IMPACT IS A TREMENDOUS TECHNOLOGY AND
3 WONDERFUL, BUT IT DOES NOTHING, OF COURSE, FOR
4 EVERYBODY BORN. AND WE NEED TO UNDERSTAND WHERE IN
5 THE PORTFOLIO EXISTING PEOPLE WHO SUFFER FROM SICKLE
6 CELL ARE GOING TO BE MANAGED AND WHAT CAN BE DONE,
7 IF ANYTHING. AND IT COULD EASILY BE THAT THE
8 SCIENCE AND THE BIOLOGY MAKE IT THAT IT'S NOT
9 FEASIBLE TO CREATE STEM CELL THERAPIES FOR PEOPLE
10 ALREADY BORN.

11 THIS IS, I THINK, AS WE GROW THIS PROCESS
12 ALONG, IT'S GOING TO BE VERY USEFUL FOR US TO
13 ELABORATE THIS TEMPLATE AND STRUCTURE AND TO HAVE A
14 BIRD'S EYE VIEW OF THE ENTIRE PORTFOLIO THAT SHOULD
15 BE INCLUDED IN WHAT WE DO.

16 DR. CREASEY: THANK YOU FOR THAT. DR.
17 GOLDSTEIN, THANK YOU. I JUST WANTED TO CLARIFY ONE
18 THING. THE SPINA BIFIDA AND THE SICKLE CELL GRANTS
19 BOTH HAVE DONE WELL, BUT THEY HAVE NOT SUBMITTED
20 REQUESTS FOR AN ACCELERATED DESIGNATION TO THE FDA,
21 BUT THEY ARE IN DISCUSSIONS WITH THE FDA. AND SO
22 THE ONES THAT WE KNOW AND THEY'VE SHARED WITH US
23 THAT THEY HAVE ACCELERATED APPROVAL WERE DESIGNATED
24 ON THE SLIDES. SO JUST I WANT TO CLARIFY THAT.

25 RECALL ALSO ANY DESIGN OF A TRIAL, FOR

BETH C. DRAIN, CA CSR NO. 7152

1 EXAMPLE, WITH DR. WILLIAMS FOR SICKLE CELL, HIS
2 DESIGN FOR HIS PHASE 2 TRIAL IS IN COLLABORATION
3 WITH THE FDA AND MULTIPLE OTHER PARTIES. SO THAT'S
4 ONGOING.

5 AS FAR AS -- I'M HAPPY TO SHARE THE
6 REST -- WE HAVE FOUR DIFFERENT SICKLE CELL GRANTS IN
7 CLINICAL. HAPPY TO SHARE THOSE ALSO. I PICKED HIM
8 BECAUSE HE'S THE FARTHEST. AND SO THE OTHERS, HAPPY
9 TO SHARE ALL THE DETAILS OF THE OTHER TRIALS AS WELL
10 AS I UNDERSTAND IN TERMS OF, LIKE, THE ECONOMICS OF
11 THE SITUATION, LIKE THE PREVALENCE OF THE DISEASE,
12 HOW DO WE MANAGE ALL THAT, IT'S SOMETHING WE CAN
13 ADDRESS AT A LATER TIME BECAUSE THAT WASN'T REALLY
14 PART OF MY MANDATE HERE TODAY TO GIVE YOU A TASTE
15 FOR WHAT'S GOING ON IN CLINICAL DEVELOPMENT. AND
16 FOR US TO DISCUSS THE REST OF THAT, MOST LIKELY IN A
17 CLOSED SESSION.

18 DR. GOLDSTEIN: I THINK IT WOULD BE VERY
19 GOOD FOR US TO HAVE IN-DEPTH SUBCOMMITTEES THAT CAN
20 FOCUS ON SOME OF THE MICRO DETAILS AND THEN BRING
21 BACK THE LEARNINGS TO THE COMMITTEE AS A WHOLE.

22 DR. CREASEY: SOUNDS GOOD. THANK YOU.
23 I'M EXCITED THAT YOU ARE THAT INTERESTED. THAT WILL
24 BE AWESOME.

25 CHAIRMAN TORRES: I'M VERY SURE THAT MARIA

BETH C. DRAIN, CA CSR NO. 7152

1 IS ALREADY THINKING ABOUT THAT AS WE'VE HAD THOSE
2 DISCUSSIONS. GO AHEAD, SEAN. I THINK AMMAR IS
3 MOOT. OH, THERE HE IS.

4 DR. QADAN: FIRST OF ALL, I WANTED TO
5 THANK YOU, SENATOR, FOR YOUR SERVICE. AND I WANTED
6 TO THANK JON AND WISH MARIA ALL THE BEST LEADING
7 THIS GROUP.

8 THIS WAS A GREAT PRESENTATION, I THINK, IN
9 MY DISCUSSIONS BEFORE WITH SEAN AND MARIA, I WANTED
10 TO GET INTO THAT LEVEL OF DETAIL. I FEEL ANY ASSET
11 THAT IS CURRENTLY IN PHASE 3, WHEN IT COMES TO HOW
12 WE WANT TO DEMONSTRATE VALUE USING THE CLINICAL
13 TRIAL PROGRAM, I NEED TO GET MORE DETAILS AROUND HOW
14 WE ARE THINKING ABOUT THAT. AND WHEN I TALK ABOUT
15 VALUE, IT'S NOT ONLY THE CLINICAL VALUE, BUT THE
16 ECONOMIC VALUE. HOW WE ARE THINKING ABOUT THAT?

17 BECAUSE TO JON'S EARLIER COMMENT AROUND
18 HOW WE WANT TO IMPACT PAYORS, IF WE ARE IN PHASE 3
19 AND WE'RE NOT CONSIDERING SOME OF THOSE ECONOMIC
20 ANALYSES, WE ARE ALREADY LATE. AND SO IT WOULD BE
21 GREAT IF, AT LEAST WHERE I CAN HELP, IS ANYTHING IN
22 PHASE 2 OR PHASE 3 WE NEED TO HAVE A DEEPER DIVE AND
23 MAKE SURE THAT WITHIN THE CURRENT CLINICAL TRIAL
24 PROGRAM WE HAVE THE RIGHT ENDPOINTS ADDRESSED AND
25 THE RIGHT PLAN IN PLACE TO ADDRESS THE VALUE FROM A

BETH C. DRAIN, CA CSR NO. 7152

1 PAYOR PERSPECTIVE.

2 AND, AGAIN, THANK YOU AND LOOKING FORWARD
3 TO GETTING MORE DETAILS AROUND THOSE.

4 DR. CREASEY: MR. QADAN OR DR. QADAN, I
5 WANT TO ASSURE YOU PHARMACOECONOMIC ANALYSIS HAS
6 BEEN DONE ON SEVERAL OF OUR PROGRAMS BY EITHER THE
7 GRANTEE OR WE ACTUALLY HAVE GIVEN A GRANT TO A CRO
8 THAT HELPS IN GENERATING SOME OF THE INFORMATION,
9 BUT ESPECIALLY TO THE PROGRAMS THAT HAVE ADVANCED
10 FURTHER. SO WE ARE ON YOUR SIDE UNDERSTANDING THE
11 PHARMACOECONOMICS OF THE GRANTS.

12 CHAIRMAN TORRES: MARIA AND ADRIENNE.

13 MS. SHAPIRO: I JUST WANTED TO SAY THAT
14 SICKLE HAS TWO PHARMA COMPANIES WHO ARE CURRENTLY
15 PREPARING TO GO TO FDA FOR APPROVAL, AND THAT WE,
16 OUR GROUP, ARE PREPARING TO GO TO AN ISSA REVIEW.
17 SO WE'LL HAVE A LOT OF DOCUMENTATION, ACTUAL OUR
18 DOCUMENTATION, WHICH CHURNS THESE NUMBERS WHICH I
19 THINK WILL BE VERY, VERY HELPFUL. SO I WILL KEEP
20 EVERYBODY ABREAST OF THAT, AND THEN WE CAN TALK
21 ABOUT LATER.

22 BUT THINKING ABOUT IT IN TERMS OF THE
23 ECONOMIC, I'M GOING TO SAY, THE ECONOMIC IMPACT OF
24 WHAT THIS RESEARCH IS DOING FOR ONE PARTICULAR
25 GROUP, WITHOUT LOOKING AT WHAT THE PROMISE OF THAT

BETH C. DRAIN, CA CSR NO. 7152

1 PARTICULAR -- THE SUCCESS OF THAT, LIKE ONE GENE
2 KIND OF THERAPIES CAN DO IN OTHER PLACES, I THINK,
3 IS SOMETHING THAT WE SHOULDN'T DO. WHENEVER WE TALK
4 ABOUT IT, I THINK WE NEED TO ALSO REFERENCE THAT
5 THIS IS A TECHNOLOGY AND WHAT OTHER THINGS IT'S
6 GOING TO BE APPLICABLE TO. SO I DON'T WANT US TO
7 LOSE SIGHT OF THAT.

8 AND THE OTHER THING IS IN OUR DISCUSSIONS
9 WE HAVE TO TALK ABOUT REAL LIFE AND WHAT LESSONS
10 LEARNED. AND ONE IS THAT TECHNOLOGY AND A PARADIGM
11 SHIFT IN ANYTHING INITIALLY IS VERY EXPENSIVE. BUT
12 AS WE IMPROVE UPON THE MODEL, THAT THAT EXPENSE THEN
13 DECREASES. SO I REALLY WANT US TO HAVE THAT IN OUR
14 CONVERSATIONS BECAUSE, AGAIN, WHAT WE ARE DOING NOW
15 IN TERMS OF MEDICINE NOBODY HAS EVER DONE BEFORE.
16 AND SO USING THE SAME MODELS TO ASSESS THE VALUE OF
17 WHAT WE ARE DOING, I THINK, IS, I WONT' SAY
18 INAPPROPRIATE, INAPPROPRIATE AT THIS POINT IN TIME.

19 SO AND I ALSO WANT TO SAY J.T. AND TO ART,
20 THANK YOU, THANK YOU FOR ALL THAT YOU'VE DONE AND
21 FOR HELPING ME FIND MY VOICE AND SUPPORT THAT YOU'VE
22 GIVEN OUR COMMUNITY WHICH LITERALLY HAD NONE. WE
23 HAD NO VOICE ON THE STATE LEVEL. WE HAD NO VOICE ON
24 THE NATIONAL LEVEL. AND THE WORK OUT OF CIRM REALLY
25 CREATED A PLATFORM FOR US. SO FOR A DISEASE FOR 120

BETH C. DRAIN, CA CSR NO. 7152

1 YEARS HAD NOTHING TO LIVE AT A TIME WHEN WE HAVE A
2 CURE SO CLOSE, AND THE WORK THAT YOU GUYS HAVE DONE,
3 AND CIRM HAS DONE, AND MARIA AND EVERYBODY ELSE, I
4 JUST WANT TO SAY THANK YOU.

5 CHAIRMAN TORRES: THANK YOU, ADRIENNE. I
6 THINK MARIA MILLAN HAD SOMETHING TO SAY, SEAN.

7 DR. MILLAN: SENATOR TORRES AND SEAN,
8 HARLAN LEVINE HAS HIS HAND UP. SO I'M GOING TO GO
9 AHEAD AND CEDE THE FLOOR TO HARLAN BECAUSE A LOT OF
10 THE THINGS THAT I WANTED TO BRING UP ARE BEING
11 BROUGHT UP ALONG THE WAY. THANK YOU SO MUCH.

12 CHAIRMAN TORRES: OKAY.

13 DR. LEVINE: THANKS, MARIA. SO A COUPLE
14 OF THE POINTS I WAS GOING TO MAKE, I'M REALLY
15 FRUSTRATED BECAUSE ADRIENNE MADE THE POINTS SO MUCH
16 MORE ARTICULATELY THAN I COULD. I THINK IT'S
17 REALLY -- WE NEED TO BUILD ON WHAT SHE JUST SAID TO
18 US BECAUSE I UNDERSTAND THAT CIRM -- WE SIT HERE
19 TODAY FEELING AS IF CIRM IS UNDER A LITTLE BIT OF
20 PRESSURE TO DEMONSTRATE ITS ROI, BUT IT'S VERY EASY
21 TO GET ON THE DEFENSIVE AND THEN LOSE SIGHT OF THE
22 BIG PICTURE, WHICH IS WE ARE THINKING SHORT TERM IN
23 TERMS OF -- BY THE WAY, I TOTALLY AGREE WE NEED TO
24 BE THINKING ABOUT THE PAYOR PERSPECTIVE BECAUSE IN
25 SOME CASES THERE'S AN OBJECTION, BUT WE SHOULDN'T

BETH C. DRAIN, CA CSR NO. 7152

1 FALL INTO THE TRAP OF THINKING THAT THE PAYOR
2 PERSPECTIVE IS THE ULTIMATE VOICE OF WHAT'S GOOD FOR
3 SOCIETY.

4 I WOULD ARGUE THAT, HAVING BEEN ON THE
5 PAYOR SIDE FOR MOST MY NONPRACTICING CAREER, I THINK
6 WE SHOULD BE VERY CAUTIOUS OF LIKE DEFAULTING TO
7 TAKING THEIR PERSPECTIVE IS YOUR ROLE. WE NEED TO
8 REMEMBER THE POINT THINGS ARE VERY EXPENSIVE WHEN
9 YOU START AND THEY COME DOWN IN PRICE. WE NEED TO
10 THINK ABOUT THE SOCIETAL -- HUNDRED AND SOME ODD
11 YEARS AGO PEOPLE WERE SAYING IT'S TOO EXPENSIVE TO
12 PUT HOLES IN MOUNTAINS, BUT WE WOULDN'T HAVE A TRAIN
13 SYSTEM, WE WOULDN'T HAVE AN ECONOMY WITHOUT THAT.
14 AND I FEEL LIKE THIS IS A SIMILAR SITUATION TO THAT.

15 I ALSO THINK WE NEED TO TRACK HOW
16 LEARNINGS FROM ONE DISEASE WILL LEAD TO OTHER
17 DISEASE. WE CAN REMEMBER HOW EXPENSIVE MEDICORP WAS
18 WHEN IT CAME OUT. WE CAN REMEMBER HOW DRAMATICALLY
19 THE PRICE OF THE HEP-C DRUGS HAS COME DOWN IN A
20 SHORT PERIOD OF TIME.

21 AND HERE'S THE POINT I WOULD MAKE IS WHEN
22 PEOPLE LIKE ME MAKE THESE COMMENTS, WE IMMEDIATELY
23 GET POLARIZED INTO SAYING, WELL, YOU DON'T CARE
24 ABOUT AFFORDABILITY. OF COURSE, I DO. I'VE MADE MY
25 WHOLE CAREER DRIVING AFFORDABILITY FOR THE

BETH C. DRAIN, CA CSR NO. 7152

1 POPULATION. BUT WE HAVE TO UNDERSTAND THAT THIS IS
2 A TIME OF SCIENTIFIC EXPLOSION THAT'S JUST
3 DIFFERENT. AND WHILE THE GOVERNMENT HAS ISSUES IT
4 NEEDS TO DEAL WITH WHAT IT CAN AFFORD OR NOT, IF YOU
5 LOOK AT THE ACA, IF YOU LOOK AT THE MARKET CAPS OF
6 THE PAYORS, THE PBM'S, THE PHARMA COMPANIES, AND
7 THEN THE MONEY THAT'S BEING TAKEN OUT BY THE MEDICAL
8 GROUP FORMATION, THERE'S PLENTY OF MONEY IN
9 HEALTHCARE TODAY TO AFFORD THESE THINGS. WE JUST
10 NEED TO MAKE SURE THAT WE GET OUR VALUES CORRECT
11 SOCIETALLY.

12 I'LL GET OFF THE BULLY PULPIT BECAUSE OF
13 THE HOUR, BUT DON'T FALL IN THE TRAP OF BEING
14 DEFENSIVE. WE ARE ADVANCING SCIENCE AND GOING TO
15 IMPROVE HUMANITY OVER TIME, AND WE NEED TO GET
16 THROUGH THE NEXT TEN YEARS, BUT ALL INDUSTRIES GO
17 THROUGH THIS. AND THAT'S MY ADVICE. DON'T FALL
18 INTO PAYOR TRAP WHEN YOU'RE THINKING ABOUT ONE
19 QUARTER AFTER ANOTHER.

20 DR. TURBEVILLE: THANK YOU, HARLAN.

21 DR. MILLAN: I KNEW THAT HARLAN WOULD
22 TOUCH SOME OF THE POINTS.

23 THIS IS JP MORGAN WEEK, AND WE ALSO HAD A
24 LOT OF DIFFERENT WORKSHOPS AND KIND OF DEEP DIVES
25 WITH MEMBERS OF THE COMMUNITY, INDUSTRY, ACADEMIA,

BETH C. DRAIN, CA CSR NO. 7152

1 DEVELOPERS. AND ONE OF THE THINGS THAT'S REALLY
2 QUITE REMARKABLE IS THAT THE FIRST-IN-CLASS
3 APPROVALS FOR CELL AND GENE THERAPY JUST HAPPENED
4 UPON US LESS THAN FIVE YEARS AGO WITH THE FIRST
5 CAR-T APPROVALS AND THE SPARK THERAPEUTICS FOR
6 BLINDING EYE DISEASE AND THE GENE THERAPY FOR SPINAL
7 MUSCULAR ATROPHY. WE'RE JUST STARTING TO SAY NOW
8 IT'S A FIELD.

9 AND WHEN YOU TALK ABOUT VALUE OF CREATION
10 AND ROI, THE TYPES OF INDICATIONS THAT CERTAINLY
11 CIRM FUNDS, THE TYPES OF PROGRAMS WE FUND, THE VALUE
12 PROPOSITION IS THAT THERE'S ACTUALLY NOTHING ELSE
13 FOR THOSE DISEASE INDICATIONS. AND MANY IN THE
14 FIELD, SCIENTISTS AND PHARMA AND DEVELOPERS ALIKE,
15 ALL HAVE STATED VERY CLEARLY THAT THERE ARE SOME
16 INDICATIONS, INCLUDING THE ONES WE ARE GOING AFTER,
17 THAT ARE NOT ADDRESSABLE EXCEPT FOR GENE THERAPY OR
18 EVEN CELL AND GENE THERAPY.

19 SO I THINK THAT THAT REALLY SETS IT APART
20 FROM THE TYPE OF ANALYSIS ONE WOULD DO FOR A
21 COVERAGE ANALYSIS THAT ONE WOULD DO FOR KIND OF A
22 SMALL MOLECULE OR STANDARD BIOLOGICS. IT'S A NEW
23 FIELD. SOME LIKEN IT TO THE MONOCLONAL ANTIBODY
24 FIELD WHERE IT TOOK QUITE A WHILE FOR THAT TO REALLY
25 EXPLODE, AND THEN THEY BECAME THE HIGHEST VALUE ROI

BETH C. DRAIN, CA CSR NO. 7152

1 AND THE BIGGEST PRODUCTS OUT THERE IN THE
2 MARKETPLACE.

3 BUT I WANTED TO ALSO SAY THAT THAT DOESN'T
4 MEAN THAT WE DON'T DO ANYTHING ABOUT IT. I THINK
5 THERE'S A TREMENDOUS OPPORTUNITY ACTUALLY, AND
6 THAT'S WHY THE WISDOM OF SENATOR TORRES AND ADVISING
7 THOSE WHO CRAFTED THE INITIATIVE AND THOSE WHO
8 CRAFTED THE INITIATIVE IN MAKING SURE THAT CIRM,
9 THROUGH YOUR GUIDANCE, WOULD BE ABLE TO FUND
10 IMPORTANT RESEARCH IN HEALTHCARE ECONOMICS RESEARCH
11 THAT COMBINES WITH REAL-WORLD EVIDENCE IN REAL TIME
12 THROUGH OUR THERAPEUTICS DEVELOPMENT PORTFOLIO.
13 AGAIN, YOU'RE RIGHT. IT'S JUST A TASTING MENU
14 BECAUSE TO GO THROUGH THE OUTPUT OF THE 86 MOSTLY
15 FIRST-IN-HUMAN CLINICAL TRIALS WOULD BE SOMETHING
16 THAT WOULD REALLY TAKE A WHILE.

17 ANYWAY, I JUST WANTED -- THIS IS SOMETHING
18 THAT WAS ANTICIPATED IN OUR STRATEGIC PLAN, AND
19 THAT'S WHY THERE IS A SPECIFIC FIVE-YEAR GOAL TO
20 CREATE A ROAD MAP, WHICH IS SOMETHING THAT CHAIRMAN
21 THOMAS HAD ALLUDED TO EARLIER AND THAT SEAN
22 TURBEVILLE HAD PRESENTED TO THIS GROUP AND WILL BE
23 WORKING THROUGH. BUT PART OF THAT IS TO DO THE NEW
24 TYPE OF RESEARCH THAT CIRM DOESN'T CURRENTLY FUND.

25 SO DR. HIGGINS, DAVID HIGGINS, OUR BOARD

BETH C. DRAIN, CA CSR NO. 7152

1 MEMBER, HAD ASKED WHAT COULD THE BOARD HELP US WITH?
2 AND WHEN WE ARE READY, IT'S TO LOOK AT DIFFERENT
3 OTHER TYPES OF FUNDING OPPORTUNITIES THAT CAN BRING
4 THIS FORWARD THAT'S NOT NECESSARILY OUR STANDARD
5 PILLAR PROGRAMS AS WE HAVE IT. I TOOK A LOT OF TIME
6 THERE, BUT SINCE SOMEBODY ASKED ME A QUESTION, I
7 THOUGHT I'D TAKE THE OPPORTUNITY TO SHARE THOSE
8 THOUGHTS. THANK YOU SO MUCH, SENATOR TORRES AND DR.
9 TURBEVILLE.

10 DR. TURBEVILLE: THANK YOU, MARIA. I'M
11 GOING TO HAND IT OVER TO ADRIENNE FOR THE LAST
12 COMMENTS, AND THEN WE'LL PUNT OFF THE PRESENTATION
13 ON ACCESS AND AFFORDABILITY.

14 MS. SHAPIRO: WAS MY HAND STILL UP? I
15 DIDN'T MEAN FOR IT TO BE STILL UP. JUST ONE THING I
16 HAVE TO SAY, AND CIRM IS PROBABLY TIRED OF HEARING
17 ME SAY THAT, IS THAT I THINK, IN LOOKING AT NOT ONLY
18 WHAT'S IN OUR PORTFOLIO, BUT LOOK OVERALL TO WHAT
19 CIRM OR WHAT GENE THERAPY AND CELL TECHNOLOGY HAS
20 BROUGHT TO THE STATE OF CALIFORNIA BECAUSE THERE'S A
21 LOT OF OTHER THINGS THAT ARE STARTED HERE OTHER THAN
22 JUST WHAT WE'VE SEEDED, BUT THEY'RE HERE BECAUSE OF
23 WHAT WE'VE DONE. SO I ALSO WOULD LIKE TO HAVE THAT
24 INCLUDED IN THIS DISCUSSION.

25 DR. TURBEVILLE: OKAY. THANK YOU. ALL

BETH C. DRAIN, CA CSR NO. 7152

1 FASCINATING AND REALLY GOOD INSIGHT FROM THE ENTIRE
2 TEAM. I THINK AT THIS POINT, ONE MORE, ABLA, I
3 THINK, HAD HER HAND UP, AND THEN WE'LL START THE
4 ACCESS AND AFFORDABILITY.

5 DR. CREASEY: I JUST WOULD LIKE TO THANK
6 EVERYONE FOR THEIR COMMENTS. BUT I HAVE AS A
7 SCIENTIST AND A CLINICAL PERSON WHO'S DONE A NUMBER
8 OF TRIALS OVER THE YEARS, AND I WORKED ON MONOCLONAL
9 ANTIBODIES AND MOVED THEM ALL THE WAY TO APPROVAL
10 AND BEYOND, WHAT'S HAPPENING IN OUR FIELD IN CELL
11 AND GENE THERAPY IS THE LOW HANGING FRUIT IS WHAT'S
12 BEING WORKED ON IN ORDER TO DEMONSTRATE THE VALUE OF
13 THE SCIENCE. ONCE THAT'S PROVEN TO BE SAFE AND
14 EFFICACIOUS, THEN WE CAN APPLY IT TO THE MORE
15 CHRONIC DISEASES.

16 THAT'S WHAT THE FIELD IS KIND OF SUFFERING
17 FROM RIGHT NOW. WE ARE CAPTURED IN THAT KIND OF
18 ARENA. BUT PLEASE BE ASSURED THAT WORKING IN AREAS
19 OF CHRONIC DISEASE IS HIGH ON OUR PRIORITY, SUCH AS
20 DIABETES, SUCH AS DIFFERENT CANCERS, SUCH AS
21 NEUROLOGICAL DISEASES. SO THOSE ARE HIGH ON OUR
22 LIST, AND WE'RE GOING AFTER THEM. IT'S A MATTER OF
23 JUST SHOWING YOU WHAT WE HAVE ACCOMPLISHED THUS FAR
24 WITH WHAT THE SCIENCE KNOWS HOW TO DO WELL AND
25 ACCOMPLISH, MAINTAIN PATIENT SAFETY FIRST AND

BETH C. DRAIN, CA CSR NO. 7152

1 FOREMOST AS THE KEY DRIVER, PLUS UNDERSTANDING
2 REALLY THE PATHOGENESIS OF THOSE DISEASES AND HOW
3 THEY EDUCATE US TO DO TRIALS FOR THE CHRONIC
4 DISEASES THAT WE ARE ALL INTERESTED IN. THANK YOU.

5 MR. TORRES: SEAN, WE HAVE 30 MINUTES
6 LEFT, SO GO TO IT.

7 DR. TURBEVILLE: YES, SIR. I'M GOING TO
8 SHARE MY SCREEN. OKAY. IF SOMEBODY CAN GIVE ME A
9 THUMBS UP THAT YOU CAN SEE THE SLIDES. THANK YOU,
10 MARIVEL.

11 ALL RIGHT. WELL, FASCINATING DISCUSSION.
12 THANK YOU, ABLA, FOR THE PRESENTATION. OBVIOUSLY
13 YOU TEEED UP A LOT OF QUESTIONS THAT LEAD INTO ACCESS
14 AND AFFORDABILITY. AND J.T. BROUGHT UP SOME REALLY
15 CHALLENGING COMMENTS AND QUESTIONS WITH RESPECT TO
16 WHERE CIRM SITS AND HOW WE'RE GOING TO APPROACH
17 METHODOLOGICALLY ACCESS AND AFFORDABILITY.
18 QUESTIONS ABOUT PHARMACOECONOMICS, WHICH, OF COURSE,
19 AMMAR AND I CAN TALK ABOUT, WHICH I THINK LEADS INTO
20 THE DISCUSSION AND SOME OF THE SLIDES THAT I PUT
21 TOGETHER TODAY.

22 SO, AGAIN, ABLA, I WANT TO THANK YOU FOR
23 THAT BECAUSE THAT REALLY DID TEE OFF A BUNCH OF GOOD
24 QUESTIONS. AND THOSE DEMONSTRATE ALL THE GREAT WORK
25 THAT CIRM HAS DONE ON THE CLINICAL SIDE, ON THE

BETH C. DRAIN, CA CSR NO. 7152

1 PORTFOLIO SIDE ACROSS THE CIRM ORGANIZATION.

2 SO IF YOU RECALL BACK IN, WELL, DECEMBER,
3 WE OFFICIALLY KICKED OFF THE DEVELOPMENT OF OUR
4 JOURNEY FOR OUR ROAD MAP OF ACCESS AND
5 AFFORDABILITY. JUST TO REALIGN EVERYBODY AGAIN, AS
6 STATED IN PROP 14 AND OUR FIVE-YEAR STRATEGIC PLAN,
7 THIS ROAD MAP WILL INCLUDE A STRATEGY FOR GATHERING
8 NOT ONLY THE NECESSARY DATA TO MAKE DECISIONS, BUT
9 ALSO TO SUPPORT REIMBURSEMENT, ENGAGE WITH
10 POLICYMAKERS AND REGULATORS, DEVELOP HEALTHCARE
11 DELIVERY MODELS, WHICH IS A BIG ASK, BUT ALSO STRESS
12 TEST SOME OF THOSE MODELS, NOT ONLY WITH OUR ALPHA
13 CLINICS, BUT ALSO OUR FUTURE COMMUNITY CARE CENTERS
14 OF EXCELLENCE.

15 SO IF YOU RECALL, I SHARED EVERYBODY THIS
16 SLIDE. THIS IS THE SLIDE THAT FOR THE MOST PART HAS
17 BEEN AAWG BLESSED. WE ARE TARGETING FOUR, FOR THE
18 MOST PART, STRATEGIES, AND THIS IS SOMEWHAT OPEN FOR
19 DISCUSSION. WE ARE CASTING A WIDE NET AS YOU CAN
20 SEE FOR RIGHT NOW. THE FOUR STRATEGIES, AGAIN, THAT
21 WE WANT TO FOCUS ON OUT OF THE GATE ARE THE
22 REIMBURSEMENT THAT HAS BEEN TOUCHED ON JUST
23 RECENTLY; LIMIT PATIENT EXPENSES, PARTICULARLY
24 OUT-OF-POCKET EXPENSES, WHICH I'LL TALK ABOUT IN A
25 FEW MINUTES; LOTS OF NEW INTEL IN THE LAST THREE

BETH C. DRAIN, CA CSR NO. 7152

1 WEEKS ON PAYOR MODELS, THOSE NEW PAYOR MODELS;
2 CERTAINLY WANT TO BE INTO, OF COURSE, WITH THE STATE
3 POLICY ISSUES THAT HAVE, AGAIN, JUST BEEN DISCUSSED
4 EARLIER. AND, FINALLY, AGAIN, EXPAND ON THE
5 CLINICAL INFRASTRUCTURE AND HOW WE MIGHT BE ABLE TO
6 PLAY IN THAT SPACE, AGAIN PIGGYBACKING OFF ALL THE
7 GREAT WORK THAT'S BEEN DONE WITH THE ALPHA CLINICS
8 AND THEN, OF COURSE, THE VISION THAT WE HAVE FOR THE
9 COMMUNITY CARE CENTERS OF EXCELLENCE.

10 SO I'M SHOWING THIS BECAUSE IT IS A LARGE,
11 IF YOU WILL, MAP. WE ARE SETTING SORT OF A WIDE
12 STRATEGY HERE, BUT IT IS ALSO OPEN FOR DISCUSSION
13 FOR STRESS TESTING. I WANT TO START LARGE AND START
14 KIND OF FIDDLING DOWN TO THE BASICS THAT MIGHT HAVE
15 THE BIGGEST IMPACT FACTOR, IF YOU WILL, FOR EACH ONE
16 OF THESE STRATEGIES.

17 SO THE PURPOSE OF TODAY'S PRESENTATION IS
18 REALLY JUST TO PROVIDE SOME INPUT THAT WE'VE
19 GATHERED IN THE LAST COUPLE WEEKS. THERE'S A COUPLE
20 OF ASKS THAT I HAVE OF THE AAWG, WHETHER WE'RE ON
21 TARGET, WHETHER THERE'S SOMETHING WE ARE MISSING IN
22 THE LAST COUPLE WEEKS WE HAVEN'T THOUGHT ABOUT FROM
23 THE STRATEGY STANDPOINT. NOT SO MUCH TACTICALLY.
24 WE CAN GET INTO THAT LATER.

25 AND THEN I ALSO WANT TO TALK A LITTLE BIT

BETH C. DRAIN, CA CSR NO. 7152

1 ABOUT SOME INTERESTING DATA FROM THE CAR-T SPACE.
2 THAT MIGHT BE A BENCHMARK FOR US. IT'S NOT
3 GENERALIZABLE TO EVERYTHING THAT WE'RE DOING AT
4 CIRM; BUT CERTAINLY, AS YOU KNOW, CAR-T, AND MANY
5 PEOPLE ON THIS PANEL HAVE A LOT OF EXPERIENCE WITH
6 THIS, WE'RE SIX TO SEVEN YEARS DEEP NOW INTO THE
7 CAR-T SPACE, NOT ONLY ON THE CLINICAL SIDE, BUT ALSO
8 ON THE OPERATIONAL SIDE. SO LOTS OF QUESTIONS AND
9 FEEDBACK THAT WE'RE GOING TO ASK OF THE AAWG:
10 WHETHER WE ARE TARGETING THE RIGHT STRATEGIES AND
11 THAT THE TACTICS THAT WE ARE USING GO AFTER THOSE.

12 SO I DO WANT TO SET THE STAGE BECAUSE IT'S
13 FASCINATING. J.T. MENTIONED THIS. THE LANDSCAPE IS
14 MOVING SO QUICKLY. I THINK AMMAR TOUCHED ON THIS AS
15 WELL. JUST AS AN EXAMPLE, LAST YEAR THERE WERE
16 APPROXIMATELY 500 UNIQUE CELL AND GENE PRODUCTS IN
17 EITHER PHASE 1, PHASE 2, OR PHASE 3 TRIALS. AND
18 THEY'RE ALL, FOR THE MOST PART, PERSONALIZED. SO
19 THEY ALL HAVE THEIR OWN OPERATIONAL CHARACTERISTICS
20 THAT ARE REALLY IMPORTANT FOR US TO UNDERSTAND.

21 ON SATURDAY WE HAD A VERY LARGE REGULATORY
22 MEETING HOSTED, OF COURSE, AT UCSF, WHICH WAS REALLY
23 INSIGHTFUL. GOT TO SPEAK TO QUITE A FEW FDA FORMER
24 CHIEFS AND PICK THEIR BRAINS. THE FDA WILL DECIDE
25 ON A MINIMUM OF 13 CJT'S THIS YEAR. THREE WILL BE

BETH C. DRAIN, CA CSR NO. 7152

1 DECIDED IN EUROPE. AND IT'S EXPECTED OR ANTICIPATED
2 THAT 50 TO 75 CELL AND GENE THERAPIES ARE EXPECTED
3 TO BE APPROVED IN THE U.S. BY 2030. SO THAT IS AN
4 ENORMOUS OPERATIONAL LIFT, NOT ONLY FROM THE
5 CLINICAL SIDE, THE FDA'S OF THE WORLD, THE COMPETENT
6 AUTHORITIES, BUT REALLY THINKING THROUGH THE
7 OPERATIONS. THAT'S WHERE I THINK THERE MAY BE SOME
8 RATE LIMITING STEPS.

9 AS I'M SORT OF A NEWBY, TO BE HONEST WITH
10 YOU, 11 MONTHS DEEP, IF YOU GO BACK AND LOOK AT THE
11 FIVE-YEAR STRATEGIC PLAN AND EVERYTHING THAT WE'VE
12 THOUGHT THROUGH, AND I CAN THROW SOME NAMES OUT WITH
13 RESPECT TO SHYAM AND EVERYBODY THAT'S THINKING
14 THROUGH THE MANUFACTURING PROCESS. WHEN YOU START
15 LINING THESE UP, IT REALLY DOES MAKE SENSE IN TERMS
16 OF WHERE WE THINK THE MODEL IS GOING TO BE THREE TO
17 FIVE YEARS FROM NOW. SO THERE'S BEEN A LOT OF
18 HEADWAY, BUT THERE ARE A NUMBER OF CHALLENGES AND
19 BARRIERS CERTAINLY FOR THE STATE THAT ARE BEING
20 ADDRESSED NOW, AND WE STILL HAVE SOME WAYS TO MAKE
21 IMPROVEMENTS.

22 THERE IS SOMETHING I WANT TO BRING TO
23 EVERYBODY'S ATTENTION. THIS IS AN INTERESTING
24 SURVEY THAT WAS RECENTLY DONE, JUST LITERALLY MAYBE
25 A COUPLE OF MONTHS AGO. AND THE SURVEY WAS DONE ON

BETH C. DRAIN, CA CSR NO. 7152

1 A NUMBER OF CAR-T SITES, APPROXIMATELY 22 OUT THERE.
2 AND THEY ASKED A NUMBER OF QUESTIONS WITH RESPECT TO
3 REIMBURSEMENT AND WHETHER OR NOT REIMBURSEMENT
4 RESULTS IN FEWER PATIENTS HAVING ACCESS TO THESE
5 THERAPIES.

6 SO THERE ARE A COUPLE OF MYTHS. AND EVEN
7 I, IT'S TOUGH TO KEEP UP WITH ALL THE INFORMATION.
8 BUT THE FIRST MYTH IS THAT PROVIDERS OF THESE
9 THERAPIES ARE LOSING MONEY, THAT IT WAS A
10 SIGNIFICANT BARRIER TO PATIENT ACCESS. AND I THINK,
11 DEPENDING ON WHAT COHORT YOU TEST, IN THE BEGINNING
12 THAT MAY BE TRUE. BUT WHAT WE ARE FINDING FROM THE
13 SURVEY IS THAT 90 PERCENT OF THE SURVEYED CENTERS
14 REPORT EARNING A PROFIT OR AT LEAST BREAKING EVEN ON
15 CAR-T ADMINISTRATION. AND ADDITIONALLY, 75 PERCENT
16 OF THESE CENTERS ARE PROFITING OR BREAKING EVEN ON
17 INPATIENT MEDICARE PATIENTS. SO POINT BEING HERE IS
18 ACTUALLY THIS MYTH HAS BEEN SORT OF DEMOLISHED TO
19 SOME EXTENT IN THAT THERE'S BEEN GREAT PROGRESS.
20 AND TO BE FAIR, ECONOMICS DOES DRIVE ACCESS AND
21 AFFORDABILITY. IT IS A SIGNIFICANT COVARIATE IN
22 THAT REALM. SO THAT WAS MYTH NO. 1.

23 WHAT THEY ATTRIBUTE THIS TO IS THAT, ONE,
24 IMPROVED HOSPITAL BILLING. WE JUST HEARD YESTERDAY
25 ABOUT MEDICAID NOW PUTTING IN NEW INFRASTRUCTURE ON

BETH C. DRAIN, CA CSR NO. 7152

1 THE REIMBURSABLE SIDE THAT ALLOW INFUSIONS AT A
2 PHYSICIAN SITE. SO THAT'S GREAT PROGRESS FOR
3 PATIENTS.

4 THE OTHER THING ABOUT THIS SURVEY THAT'S
5 INTERESTING IS, IN ORDER TO BE SUCCESSFUL, MANY
6 SITES MENTION THAT THEY HAD TO HAVE A COMBINATION OF
7 NOT ONLY PRIVATE BUT ALSO PAYOR REIMBURSEMENT. THAT
8 IT WAS NEITHER ONE OR THE OTHER, THAT THE
9 COMBINATION REALLY WAS SORT OF THE SECRET SAUCE, IF
10 YOU WILL, FOR ACTUALLY BEING SUCCESSFUL, TO BE ABLE
11 TO HAVE THERAPY FOR PATIENTS THAT ARE CERTAINLY IN
12 ONE CATEGORY AND, OF COURSE, HAVING ACCESS TO THE
13 PATIENTS OR ACCESS TO THERAPIES TO PATIENTS ON THE
14 OTHER SIDE OF THE SPECTRUM. SO THERE'S THAT
15 BALANCE.

16 AND FURTHERMORE, AS HARLAN PROBABLY WOULD
17 CONCUR GIVEN THAT HE'S A SUBJECT MATTER EXPERT IN
18 THIS AREA, APPROVED DEFICIENCY. SO FEWER CLINICAL
19 COMPLICATIONS NOW. WE'RE SEEING SHORTER INPATIENT
20 STAYS. BETTER FAMILIARITY WITH THE SAFETY PROFILE
21 AND PRODUCT. AND FEWER HOSPITAL STAYS FOR PATIENTS.

22 SO THIS IS INTERESTING INTEL FOR US THAT I
23 WANT THE AAWG TO CONSIDER AS WE START CONSIDERING
24 OUR MODEL FOR ACCESS AND AFFORDABILITY.

25 MYTH NO. 2 IS INTERESTING. SO THAT WAS,

BETH C. DRAIN, CA CSR NO. 7152

1 HEY, LOOK, IS OUTPATIENT ADMINISTRATION FEASIBLE
2 FROM AN ECONOMIC STANDPOINT AND OPERATIONAL
3 STANDPOINT? AND WHAT THEY FOUND IS THAT ON AVERAGE
4 15 TO 20 PERCENT OF CASES ARE BEING ADMINISTERED ON
5 AN OUTPATIENT SERVICE. AND START THINKING ABOUT
6 THROUGH THIS WITH RESPECT TO THE COMMUNITY CARE
7 CENTERS OF EXCELLENCE. THE FACT THAT THERE'S
8 PRECEDENCE NOW, THERE'S A PUSH OUT TO THE OUTPATIENT
9 SETTING IS SOMETHING THAT WE SHOULD CONSIDER FOR THE
10 COMMUNITY CARE CENTERS OF EXCELLENCE AND MAKING SURE
11 THAT THOSE ORGANIZATIONS, THOSE INSTITUTIONS HAVE
12 THE OPERATIONAL SUPPORT TO BE ABLE TO SORT OF PLUG
13 AND PLAY, IF YOU WILL, THE OUTPATIENT CAR-T
14 INFUSIONS. AND ALSO PREPPING THEM FOR MUCH MORE
15 ADVANCED GENE THERAPY IN THE FUTURE.

16 SO, AGAIN, THIS OBSERVATION DOES ALIGN
17 WITH THE CC INITIATIVE, AGAIN, NOT ONLY PROVIDING
18 RESOURCES FOR THE RESEARCH SIDE, BUT, AGAIN, ALSO
19 FOR THE COMMERCIAL APPROVALS THAT WE ANTICIPATE, I
20 MENTIONED EARLIER, THAT WILL BE NEEDED IN THE RURAL
21 COMMUNITIES.

22 AND MYTH NO. 3 WAS MORE RELATED TO
23 INDUSTRY. AND JUST TO BACK UP A LITTLE BIT, THIS
24 SURVEY WAS DESIGNED BY AN ORGANIZATION THAT IS
25 WELL-KNOWN IN THE INDUSTRY. IT'S CALLED ZS. THEY

BETH C. DRAIN, CA CSR NO. 7152

1 ARE SORT OF A MARKET ACCESS THINK TANK. I DO
2 ENCOURAGE THEM, HOPEFULLY, TO PUT THIS INFORMATION
3 IN THE PUBLIC DOMAIN, BUT IT IS BY FAR THE MOST
4 ROBUST SURVEY INFORMATION THAT WE HAVE TO DATE ON
5 THE CAR-T CENTERS IN THE UNITED STATES.

6 BUT THE MYTH 3 WAS REALLY, HEY, IS
7 INDUSTRY HELPING WITH ACCESS AND AFFORDABILITY? IS
8 THERE PUSHBACK? AND WHAT THEY FOUND IN GENERAL,
9 THAT, AND NOT SURPRISING, MOST OF THE SITES ARE
10 RECEPTIVE, NOT ONLY OF INDUSTRY ASSISTANCE, BUT ALSO
11 THE PUBLIC SIDE ASSISTANCE AS WELL.

12 ONE THING THAT KEEPS COMING UP, AND THIS
13 IS BY NO MEANS ANOTHER PLUG FOR THE PATIENT SUPPORT
14 PROGRAM, BUT THE SITES DID VALUE THE MANUFACTURERS
15 AND, MORE IMPORTANTLY, THE PATIENT SUPPORT PROGRAM,
16 HOW ROBUST THOSE PROGRAMS ARE IN HELPING THESE SITES
17 GET THE PATIENTS THROUGH THAT PATIENT JOURNEY.

18 SO IT'S SOME INTERESTING INTEL. WE CAN
19 GET INTO SOME GREAT DETAIL HERE, BUT I DO ENCOURAGE
20 YOU TO TAKE A LOOK AT THE SURVEY. IT COULD BE A
21 GOOD MODEL FOR US MOVING FORWARD. I'LL LEAVE THAT
22 OPEN FOR THE END DISCUSSION OF WHETHER OR NOT THIS
23 IS SOMETHING THAT WE SHOULD CONTINUE TO FOCUS ON,
24 PUTTING MORE EFFORTS, RESEARCH EFFORTS, INTO THIS
25 EXPANSION, AND HOW THAT MAY PLAY OUT WITH RESPECT TO

BETH C. DRAIN, CA CSR NO. 7152

1 ACCESS AND AFFORDABILITY.

2 ANOTHER THING I WANT TO STRESS TEST WITH
3 THE AAWG IS MAKING SURE THAT WE ARE FOLLOWING THE
4 PATIENT JOURNEY. AND THIS IS SOMEWHAT NEW FOR CIRM
5 TO BE HONEST WITH YOU. AND AMMAR MENTIONED THIS.
6 THIS DOES PLAY INTO THE PAYOR PATHWAYS AND KIND OF
7 REVERSE ENGINEERING THE PAYOR PATHWAY, THE PATIENT
8 JOURNEY, AND WHAT THAT'S GOING TO LOOK LIKE ALL THE
9 WAY FROM THE CLINICAL SIDE.

10 SO I'M HOPING THAT -- AND THIS IS JUST AN
11 EXAMPLE OF WHAT A PATIENT JOURNEY WOULD LOOK LIKE
12 ALL THE WAY FROM WHEN THEY SEE THE SPECIALIST, TO
13 THE DIAGNOSTIC TEST, THE OUT-OF-PATIENT POCKET
14 EXPENSES THAT TAKE PLACE FOR EACH PART OF THAT
15 JOURNEY. BUT I WANT TO MAKE SURE THAT AT LEAST THIS
16 EXERCISE IS IMPORTANT TO THE AAWG. IT DOES PROVIDE
17 US GOOD INSIGHT IN TERMS OF WHERE THE POTHoles ARE,
18 WHERE THOSE SORT OF MILESTONES THAT WE MIGHT BE ABLE
19 TO IMPACT FOR PATIENTS, NOT ONLY ON THE PAYOR SIDE,
20 BUT ALSO ON THE OBSERVATIONAL SIDE. SO I DO WANT TO
21 STRESS TEST THAT WITH THE AAWG GIVING GUIDANCE AT
22 THE END THE DISCUSSION, WHETHER WE'RE SPOT ON OR
23 MAYBE WE SHOULD PULL BACK AWAY FROM THE PATIENT
24 JOURNEY.

25 THERE ARE A COUPLE THINGS THAT MANY OF YOU

BETH C. DRAIN, CA CSR NO. 7152

1 ARE FAMILIAR WITH THAT WE'VE HEARD FROM THE
2 COMMUNITY. ONE IS THAT CLINICIANS WOULD LIKE TO SEE
3 NOT ONLY THE WORK-UP OF THE PATIENT AT THE SAME SITE
4 AT WHICH THE INFUSION TAKES PLACE.

5 ANOTHER AREA IS THE COMPLEX PRIOR
6 AUTHORIZATION. THIS IS VERY COMPLICATED AS MANY OF
7 US KNOW. IT TAKES A SUBJECT MATTER EXPERT TO TAKE A
8 PATIENT AND MAKE SURE THAT THEY GET THE PRIOR
9 AUTHORIZATION FOR THE CELL AND GENE THERAPY. AND
10 THAT IS ANOTHER POTENTIAL, AND I USE THE TERM
11 "DELIVERABLE," BUT CERTAINLY SOMETHING THAT WOULD
12 FALL UNDER THE PATIENT SUPPORT PROGRAM.

13 SO WE'LL COME BACK TO THIS AT THE END OF
14 THE DISCUSSION. I'M COGNIZANT OF TIME. I WANT TO
15 RUN THROUGH THIS AS QUICKLY AS POSSIBLE.

16 NOW, WHAT'S INTERESTING ON THE PAYOR SIDE,
17 WHAT WE'VE HEARD FROM PAYORS, AND THIS GOES TO A
18 COUPLE COMMENTS JUST EARLIER, MOST PAYORS CURRENTLY
19 USE TRADITIONAL TECHNIQUES SUCH AS FORMULARY OR
20 UTILIZATION MANAGEMENT TOOLS TO MANAGE CELL AND GENE
21 THERAPIES. WHAT MANY PAYORS ARE LOOKING AT ARE
22 FORMER MODELS. HEPATITIS C IS A GREAT EXAMPLE.
23 SOMEONE MENTIONED THAT EARLIER. THEY ARE USING THAT
24 MODEL AND TRYING TO MODEL THAT WITH THE CELL AND
25 GENE THERAPY SPACE, AND THAT DOESN'T NECESSARILY

BETH C. DRAIN, CA CSR NO. 7152

1 FIT. SO THERE ARE NEW MODELS THAT ARE BEING
2 DEVELOPED AS I'M GIVING THIS PRESENTATION THAT I
3 THINK THE AAWG NEEDS TO BE AWARE OF IN TERMS OF --
4 AND IT GOES TO THE PATIENT JOURNEY, BUT ALSO THE
5 PAYOR JOURNEY THAT TAKES PLACE BECAUSE WE DO HAVE
6 FAIRLY LARGE INVESTMENTS IN THESE ASSETS. AND
7 UNDERSTANDING THAT WHOLE LIFE CYCLE MANAGEMENT IS
8 CRITICAL.

9 NOW, INTERESTING ENOUGH, WHAT WE ALSO
10 HEARD HAD FROM PAYORS IS THEY PLAN TO LEVERAGE
11 REINSURANCE AS WELL AS INCREASE THEIR USE OF
12 ALTERNATIVE PAYMENT MECHANISMS OR VALUE-BASED
13 OUTCOMES. I'VE TALKED ABOUT THIS A COUPLE OF TIMES,
14 BUT THIS IS NEW TO US. WHAT WE ARE HEARING FROM THE
15 PAYORS IS THAT THEY'RE TRYING TO FIND INSURANCE ON
16 TOP OF THE INSURANCE SPECIFICALLY FOR CELL AND GENE
17 THERAPIES. AND THE REASON FOR THAT IS THAT UPFRONT
18 HEAVY BURDEN FOR THE PAYORS WITH THOSE FRONT COSTS,
19 THOSE FRONT INFUSIONS, WHICH YOU'VE HEARD THE
20 NUMBERS BEFORE, WHICH ARE PHARMACOECONOMICALLY
21 SUPPORTED, BUT NONETHELESS ARE A BIG IMPACT FACTOR
22 FOR INSURANCE COMPANIES.

23 SO I WON'T GET INTO GREAT DETAIL. I
24 TALKED ABOUT THE ANNUITY BASED, OUTCOMES BASED,
25 OUTCOMES BASED REBATES. ALL OF THESE ARE CURRENTLY

BETH C. DRAIN, CA CSR NO. 7152

1 BEING USED AS WE SPEAK WITH THE CELL AND GENE
2 THERAPY SPACE.

3 NOW, THERE'S ANOTHER AREA THAT WE MIGHT BE
4 ABLE TO FOCUS ON. AND THIS IS SOMEWHAT THEORETICAL,
5 BUT THE GOVERNMENT IS STEPPING UP TO THE PLATE.
6 THEY ARE TAKING A BIGGER INITIATIVE IN NOT ONLY
7 HELPING ON THE FEDERAL SIDE WITH RESPECT TO
8 REIMBURSEMENT, BUT ALSO AT THE STATE LEVEL. AND
9 THIS IS WHERE I THINK SENATOR TORRES AND PEOPLE AT
10 THE AAWG WHERE WE COULD WORK WITH THE STATE AND
11 STARTING TO THINK THROUGH HOW THE FEDERAL PROGRAMS
12 MIGHT BE ABLE TO HELP THE STATE, HOW THE STATE CAN
13 HELP WITH POTENTIAL DEFICIENCIES ON THE, AND MANY OF
14 YOU, AGAIN, ARE SUBJECT MATTER EXPERTS, THAT HIGH
15 BURN RATE THAT'S GOING TO TAKE PLACE.

16 GOLDSTEIN MENTIONED IT EARLIER. THE
17 BUDGET IMPACT OF CUMULATIVE, ALL OF THE ORPHAN
18 DISEASES REALLY STILL IS A DROP IN THE BUCKET WHEN
19 WE THINK ABOUT THE CAR-T'S THAT ARE COMING, THINK
20 ABOUT SOLID TUMOR, PARTICULARLY ONCOLOGY SPACE,
21 WHICH, OF COURSE, CIRM HAS INVESTED IN AS WELL. SO
22 LOTS OF THINGS TO THINK ABOUT AND LOTS OF
23 OPPORTUNITIES FOR US TO BRING TO THE AAWG.

24 AND THEN FINALLY, I DO WANT TO GET INPUT
25 ON WHEN WE THINK ABOUT ACCESS AND AFFORDABILITY.

BETH C. DRAIN, CA CSR NO. 7152

1 AND THERE'S BEEN LOTS OF DISCUSSION JUST RECENTLY AT
2 JP MORGAN, A NUMBER OF SCIENTIFIC CONGRESSES, AND
3 THAT IS GETTING OUT TO THE COMMUNITY, THAT
4 OUTPATIENT. WHAT DOES THAT LOOK LIKE AND WHAT IS
5 THAT GOING TO TAKE? THIS GOES BACK TO AMMAR'S
6 COMMENT ABOUT PHARMACOECONOMICS, BUT ALSO ACCESS,
7 SPEED TO TREATMENT. AND SO THIS IS SOMETHING THAT
8 WE WANT TO CONTINUE TO INVESTIGATE, BRING BACK TO
9 THE AAWG, AND ALSO INCORPORATE -- AS YOU MAY KNOW,
10 WE HAVE AN ADDITIONAL WORKING SESSION NEXT WEEK WITH
11 COMMUNITY CARE CENTERS OF EXCELLENCE -- GATHERING
12 THAT CLINICAL AS WELL AS THE COMMUNITY INFORMATION
13 THAT WE CAN APPLY TO THAT CONCEPT PLAN THAT GEOFF IS
14 DRIVING. SO ABSOLUTELY CRITICAL. WOULD LOVE TO GET
15 YOUR PEOPLE'S INPUT ON THE OUTPATIENT SETTING WITH
16 RESPECT TO CAR-T AND MORE IMPORTANTLY EVEN THE GENE
17 THERAPY SPACE.

18 CHAIRMAN TORRES: BE SURE TO CALL ON JAMES
19 BECAUSE HE BRINGS SUCH EXPERTISE FROM COVERED
20 CALIFORNIA IN THIS WHOLE ARENA. SO HE'S GOING TO BE
21 INVALUABLE TO YOU.

22 DR. TURBEVILLE: ABSOLUTELY. I APOLOGIZE.

23 SO I WANT TO REALLY KEEP THIS SOMEWHAT
24 SHORT. IN GENERAL, I WANT TO MAKE SURE THAT WE ARE
25 APPROACHING THIS THE RIGHT WAY. I'VE SHOWN YOU THAT

BETH C. DRAIN, CA CSR NO. 7152

1 MAP. AGAIN, OUR VISION IS THAT WE'RE GOING TO TRY
2 TO DO AS MUCH DUE DILIGENCE AS WE CAN AND BRING THIS
3 BACK TO THE AAWG FOR COMMENTS, QUESTIONS, WHERE WE
4 THINK THE SWEET SPOT IS, WHERE WE THINK THE
5 OBSTACLES ARE TOO DIFFICULT FOR A SMALL ORGANIZATION
6 LIKE US, BUT REALLY LOOK TO THAT FEEDBACK.

7 AND A SECOND QUESTION OF THE AAWG. ARE WE
8 MISSING ANY STRATEGIES? I MENTIONED THOSE FOUR TO
9 FIVE STRATEGIES WE ARE TARGETING. I DON'T WANT TO
10 BOIL THE OCEAN. WE HAVE TO BE VERY CLEAR ON WHAT WE
11 ARE GOING GO AFTER FOR THE NEXT FIVE TO SIX MONTHS
12 AND HOW THIS CAN BE A SUCCESSFUL PROGRAM FOR ACCESS
13 AND AFFORDABILITY FOR ALL PATIENTS, PARTICULARLY IN
14 CALIFORNIA.

15 SO WITH THAT, LET ME PAUSE AND FIRST JUST
16 SEE IF THERE'S ANY RESPONSE TO THE SLIDES THAT I
17 PRESENTED AND, MORE IMPORTANTLY, THE TWO QUESTIONS.
18 ARE WE APPROACHING THIS IN THE RIGHT WAY? ARE THERE
19 OTHER METHODOLOGIES THAT WE SHOULD CONSIDER? AND
20 THEN, FINALLY, ARE THERE ANY STRATEGIES THAT YOU
21 THINK WE MAY BE MISSING? SO I'LL OPEN THAT UP FOR
22 DISCUSSION, AND I WANT TO THANK YOU FOR THE
23 OPPORTUNITY TO PRESENT.

24 MS. BONNEVILLE: HARLAN AND SOME HANDS
25 RAISED OF YOU WANTED TO CALL ON ANYONE.

BETH C. DRAIN, CA CSR NO. 7152

1 DR. LEVINE: I THINK AMMAR WAS FIRST.

2 I'LL JUST JUMP IN.

3 MS. BONNEVILLE: THANKS, HARLAN.

4 DR. QADAN: THANKS, SEAN. I AGREE WE
5 ARE -- I AGREE. THANK YOU, SEAN. WE ARE
6 INTRODUCING DIRECT STRATEGIES. MY SUGGESTION IS
7 PROBABLY, IN ORDER TO GET THE VALUE OUT OF THE
8 PARTICIPANTS WITHIN THIS GROUP, IS PERHAPS WE NEED
9 TO SEPARATE THE WORK ON THE PATIENT AFFORDABILITY
10 AND DRIVING PATIENTS TO CLINICAL TRIALS FROM
11 DEMONSTRATING VALUE FROM A PAYOR PERSPECTIVE BECAUSE
12 THOSE TAKE TWO DIFFERENT EXPERTISE TO MANAGE THOSE.
13 AND THAT MIGHT NOT BE THE BEST USE OF PEOPLE'S TIME
14 TO TACKLE BOTH ISSUES. SO THAT SPECIALIZATION MIGHT
15 BE NEEDED.

16 THE SECOND POINT I WANTED ALSO TO SAY AT
17 JP MORGAN ALSO THERE WERE SESSIONS BY BIOMER AND BY
18 VERTEX. AND THEY ADDRESSED SOME OF THE EMERGING
19 INNOVATIVE CONTRACTS AND SOME OF THE EMERGING ALSO
20 REQUIREMENTS BY PAYORS TOWARDS THE DURABILITY OF
21 RESPONSE AS A PREQUALIFIER. AND SOME OF THE WORK
22 THAT ALSO HAVE BEEN SHARED AROUND MANY OF THE SMALL
23 PAYORS COMING TOGETHER AND CREATING LIKE A MULTIPLE
24 ORGANIZATION TYPE OF CONTRACT WITH ONE MANUFACTURER,
25 WHICH I FELT THAT THIS WAS REALLY A GREAT IDEA TO

BETH C. DRAIN, CA CSR NO. 7152

1 THINK ABOUT SO THAT THIS WOULD ENABLE ALSO ADOPTION
2 BY THOSE PAYORS WHO WILL BE RELUCTANT TO ADOPT
3 INITIALLY.

4 FINALLY, I WANT TO MAKE JUST A FINAL
5 COMMENT. DEFINITELY I AGREE WITH HARLAN AROUND WE
6 DO NOT WANT ALSO TO BE CHASING A GHOST. AND SOME OF
7 THE REQUIREMENTS OF PAYORS, AND MARIA ALSO ALLUDED
8 TO THAT, MIGHT NOT BE REALISTIC. BUT SOME OF THOSE
9 ALSO WE NEED TO ADDRESS COMMONALITIES BETWEEN WHAT'S
10 HAPPENING IN THE U.S. AND OUTSIDE THE U.S. WHILE I
11 LOOK AT THE U.S., ESPECIALLY COMMERCIAL PAYORS, WITH
12 SKEPTICISM BECAUSE THOSE ARE FOR-PROFIT
13 ORGANIZATIONS. I SEE ALSO SOME OF THE SIMILAR
14 TRENDS THAT WE SEE IN THE U.S. OUTSIDE THE U.S.
15 WHERE THE PAYOR IS THE GOVERNMENT. AND SO I THINK
16 THOSE NEED TO BE ADDRESSED. THANK YOU.

17 DR. TURBEVILLE: THANK YOU. I THINK PAT
18 LEVITT WAS NEXT. PAT.

19 DR. LEVITT: SO, SEAN, YOU PROBABLY KNOW
20 WHAT I'M GOING TO SAY. THAT SURVEY, WHICH IS AN
21 INTERESTING SURVEY ABOUT MITT BUSTERS WAS, I'M
22 CERTAIN FROM READING THE ARTICLE, IT WAS A SURVEY OF
23 ALL ADULT MEDICAL CENTERS. AND SO THIS IS NOT MY
24 OPINION NOW. THERE'S BEEN ANOTHER PIECE, I SENT IT
25 TO SEAN, WRITTEN IN THE *NEW YORK TIMES*, AND THE

BETH C. DRAIN, CA CSR NO. 7152

1 TITLE OF THE ARTICLE WAS "WHY SAVING CHILDREN IS BAD
2 FOR BUSINESS." AND SO THIS IS A PERSISTENT PROBLEM
3 THAT'S GETTING WORSE AND WORSE IN THE UNITED STATES
4 BECAUSE THERE ARE FREESTANDING CHILDREN'S HOSPITALS
5 LIKE THE ONE I'M AT, BUT THEY TEND TO BE IN LARGE
6 URBAN CENTERS, AND CHILD HEALTHCARE IS DONE IN MOST
7 AREAS IN ADULT HOSPITALS THAT ARE ELIMINATING THEM
8 BECAUSE IT'S BAD BUSINESS BECAUSE THE REIMBURSEMENT
9 RATES ARE, FOR THE SAME PROCEDURE OR THE SAME
10 OCCUPATION OF THE SAME SIZE BED, ARE LOWER FOR
11 CHILDREN BY LIKE A THIRD OR SOMETIMES HALF.

12 SO THIS IS AN ISSUE, I THINK, FOR CIRM
13 BECAUSE -- AND I DO AGREE THAT CHASING THIS IS GOING
14 TO BE REALLY DIFFICULT FOR THIS ORGANIZATION. BUT
15 GIVEN THE CHARGE OF THIS COMMITTEE, AFFORDABILITY
16 AND ACCESSIBILITY, IT MAY BE THAT IT FOCUSES ON
17 NON-PEDIATRIC AREAS. I KNOW THAT'S STATING --
18 THAT'S AN ANATHEMA. OF COURSE, WE HAVE TO INCLUDE
19 PEDIATRIC. THERE ARE MANY CHRONIC PEDIATRIC
20 DISEASES THAT ARE GOING TO BE AMENABLE. THERE
21 ALREADY ARE IN TERMS OF CAR-T AND GENE THERAPY. BUT
22 THERE IS THIS ECONOMIC REALITY, AND IT'S THAT, EVEN
23 FOR THE MOST ROBUST, LARGE CHILDREN'S HOSPITALS, THE
24 NUMBERS DON'T COME CLOSE TO ADDING UP, AND THAT'S
25 GOING TO HAVE AN EFFECT ON THAT PEDIATRIC

1 POPULATION.

2 SO I'LL STOP THERE, SEAN, BUT I JUST
3 THOUGHT I HAD TO MENTION THAT ONCE AGAIN. I'LL KEEP
4 SENDING YOU ARTICLES TO READ ABOUT THIS.

5 DR. TURBEVILLE: IT'S VERY IMPORTANT.
6 PLEASE CONTINUE. AND WE MAY HAVE TO THINK ABOUT TWO
7 MODELS, JUST BIFURCATING PEDS FROM ADULTS. THAT
8 MIGHT BE SOMETHING WE MAY WANT TO CONSIDER.

9 DR. LEVINE: SEAN, I'LL ADD THREE QUICK
10 THOUGHTS TO THAT ONE THAT'S ON THE SURVEY. I THINK
11 IT'S IMPORTANT WE TALK ABOUT PROFITABLE OR
12 BREAKEVEN. IT WOULD BE GOOD TO TEASE THOSE APART
13 BECAUSE I KNOW THE DEDICATED CANCER CENTERS ARE SO
14 BEATEN DOWN, MEANING THEY WERE LOSING \$500,000 IN
15 CAR-T FOR SEVERAL YEARS WITH MEDICARE. THEY'RE
16 HAPPY TO BREAK EVEN. BUT WHEN THIS IS A RARE
17 TREATMENT, BREAKING EVEN OCCASIONALLY IS FINE. BUT
18 AS IT BECOMES MORE COMMON, YOU CAN'T RUN A BUSINESS
19 ON THINGS THAT BREAK EVEN.

20 AND BY THE WAY, BREAKEVEN MEANS YOU BREAK
21 EVEN ON THE DRUG COST. THE SPECIALIZED SERVICES
22 THAT ARE NEEDED TO MANAGE THOSE DRUGS FROM THE
23 PHORESIS TO THE HANDLING AND THE INFUSION, VERY
24 EXPENSIVE. SO I THINK PEOPLE ARE ACTUALLY LOSING
25 MONEY WHEN THEY THINK THEY'RE BREAKING EVEN COMPARED

BETH C. DRAIN, CA CSR NO. 7152

1 TO THE STATUS QUO.

2 BE THAT AS IT MAY, THINGS ARE BETTER, BUT
3 EVEN SOME OF THE COMMERCIAL PAYORS ARE SAYING WE ARE
4 NOT GOING TO PAY MARGIN ON THESE THINGS. SO IT'S A
5 TOUGH BUSINESS TO BE IN. THAT'S POINT NO. 1.

6 POINT NO. 2, I WANT TO REINFORCE WHAT YOU
7 SAID ABOUT THE PAYOR IS LOOKING FOR ALTERNATIVES ON
8 PAYMENT MODELS. WE WON'T TEASE THROUGH THOSE TODAY,
9 BUT I WANT TO POINT OUT THAT, OUTSIDE OF GOVERNMENT,
10 EMPLOYERS ARE ALMOST TWO-THIRDS, LIKE 60 PERCENT OF
11 THE REAL PAYOR, EMPLOYERS OF SMALLER POPULATIONS.
12 THEY'RE EXQUISITELY CONCERNED ABOUT A \$2 MILLION
13 PAYOUT, \$1 MILLION PAYOUT FOR SOMEONE WHO MAY LEAVE
14 THEIR EMPLOYMENT IN A YEAR AND WORK FOR A
15 COMPETITOR. SO THEY ARE LOOKING AND YOU'VE HEARD
16 ABOUT (INAUDIBLE) FUND THIS. WE MAY NEED TO THINK
17 ABOUT -- THINK OUT OF THE BOX. THE SAME WITH THE
18 TOBACCO TAX. DOES THERE NEED TO BE A TAX TO FUND
19 THESE SORT OF TREATMENTS TO MAKE THEM AFFORDABLE IN
20 THE SHORT TERM BECAUSE OUR SYSTEM WAS NOT SET UP FOR
21 400,000 TO A MILLION DOLLAR TREATMENT. IT JUST
22 WASN'T. SO WE CAN'T RELY ON OLD SCHOOL TO SOLVE
23 THIS PROBLEM.

24 AND THEN THE THIRD AND LAST COMMENT I WANT
25 TO MAKE IS A CLINICAL COMMENT. AND IT'S A LITTLE

BETH C. DRAIN, CA CSR NO. 7152

1 BIT NUANCED, BUT I JUST WANT TO -- WE SHOULD BE
2 CAREFUL AS WE MOVE TO OUTPATIENT. SOME OF THE
3 EASIER TO DELIVER TREATMENTS WILL BE THE ONES THAT
4 ARE OUTPATIENT. WHAT YOU DON'T WANT TO DO -- THINK
5 ABOUT YOURSELF AS A PATIENT FOR A WHILE. YOU'RE
6 WITH A PROVIDER, AND THAT PROVIDER CAN DELIVER
7 ONE-TENTH OF THE THERAPIES THAT ARE OUT THERE IN AN
8 OUTPATIENT SETTING. THEY'RE GOING TO BE INCENTED OR
9 SUBCONSCIOUSLY MOTIVATED TO OFFER YOU THAT ONE
10 TREATMENT WHERE THERE MAY BE NINE OTHER MORE
11 EFFECTIVE TREATMENTS THAT THEY CAN'T DELIVER BECAUSE
12 THEY'RE IN AN INPATIENT CENTER OR THEY'RE NEW OR IN
13 A TRIAL. YOU DON'T WANT TO SET UP A BARRIER WITHIN
14 THE SYSTEM WITH PERVERSE INCENTIVES WHERE YOU GET
15 THE UNINTENDED CONSEQUENCE, YOU'RE GETTING
16 SUBOPTIMAL THERAPY BECAUSE THAT'S WHAT THE DOCTOR
17 CAN DELIVER AS OPPOSED TO HAVING THE ABILITY TO GO A
18 CENTER AND HAVE THE FULL ARRAY OF OPPORTUNITIES.

19 AND I GET IT. NOT EVERYONE HAS ACCESS TO
20 A CENTER, AND SOME ACCESS IS BETTER THAN NONE; BUT
21 THESE ARE JUST COMPLICATED ISSUES THAT WE NEED TO
22 THINK THROUGH AS THESE THINGS BECOME MORE UBIQUITOUS
23 AND THERE'S MORE CHOICES THAN WE HAVE TODAY.

24 DR. TURBEVILLE: GOOD POINT. MARIVEL, DO
25 YOU SEE ANY OTHER HANDS RAISED?

BETH C. DRAIN, CA CSR NO. 7152

1 MS. DE LE TORRE: YES. ACTUALLY HARLAN.
2 DR. LEVINE: THAT WAS JUST ME. I'M DONE.
3 MS. DE LA TORRE: THAT'S IT THEN.
4 DR. TURBEVILLE: OKAY. WELL, VERY GOOD.
5 WELL, THIS WAS JUST OUR FIRST, IF YOU WILL, DAY ONE
6 ON THE ACCESS AND AFFORDABILITY, PRESENTING SOME
7 INFORMATION TO YOU. WE ARE A SMALL SHOP RIGHT NOW,
8 BUT I ANTICIPATE THAT WE'LL BE ABLE TO RAMP UP SOME
9 OF THE INTEL WE NEED TO GATHER AND BRING BACK. I DO
10 LOOK, EVEN OFFLINE, PLEASE SEND ME INQUIRIES OR
11 QUESTIONS OR GUIDANCE THAT YOU THINK WE NEED TO
12 FOLLOW UP ON. I DO THINK THIS IS A VERY CHALLENGING
13 AND INTELLECTUALLY STIMULATING PROGRAM. IT IS, OF
14 COURSE, PART OF OUR FIVE-YEAR STRATEGIC PLAN; BUT
15 I'M CONFIDENT THAT WE WILL, AFTER SIX MONTHS, HAVE A
16 ROAD MAP THAT WE CAN PRESENT TO THE ICOC BASED ON
17 THE AAWG'S GUIDANCE. SO THANK YOU FOR EVERYBODY'S
18 COMMENTS.

19 MR. VICE CHAIRMAN, I THINK THAT'S IT FROM
20 THE QUESTION STANDPOINT. I'LL PUNT IT BACK OVER TO
21 YOU.

22 CHAIRMAN TORRES: THAT WAS A SILENT
23 THANK-YOU. THANK YOU TO ALL OUR CIRM STAFF AND TO
24 EACH OF YOU WHO PARTICIPATED TODAY, AND THANK YOU
25 AGAIN FOR SPENDING A FRIDAY AFTERNOON, BUT I KNOW

BETH C. DRAIN, CA CSR NO. 7152

1 YOU DO IT FOR THE PATIENTS BECAUSE I KNOW WHERE YOUR
2 HEARTS ARE. SO TAKE CARE AND HAVE A GREAT WEEKEND.
3 WHEREVER WE ARE, LET'S STAY SAFE AND DRY.

4 (THE MEETING WAS THEN CONCLUDED AT 3:58 P.M.)
5
6
7
8
9
10
11
12
13
14
15
16
17
18
19
20
21
22
23
24
25

REPORTER'S CERTIFICATE

I, BETH C. DRAIN, A CERTIFIED SHORTHAND REPORTER IN AND FOR THE STATE OF CALIFORNIA, HEREBY CERTIFY THAT THE FOREGOING TRANSCRIPT OF THE VIRTUAL PROCEEDINGS BEFORE THE ACCESSIBILITY AND AFFORDABILITY WORKING GROUP OF THE INDEPENDENT CITIZEN'S OVERSIGHT COMMITTEE OF THE CALIFORNIA INSTITUTE FOR REGENERATIVE MEDICINE IN THE MATTER OF ITS REGULAR MEETING HELD ON JANUARY 13, 2023, WAS HELD AS HEREIN APPEARS AND THAT THIS IS THE ORIGINAL TRANSCRIPT THEREOF AND THAT THE STATEMENTS THAT APPEAR IN THIS TRANSCRIPT WERE REPORTED STENOGRAPHICALLY BY ME AND TRANSCRIBED BY ME. I ALSO CERTIFY THAT THIS TRANSCRIPT IS A TRUE AND ACCURATE RECORD OF THE PROCEEDING.

BETH C. DRAIN, CA CSR 7152
133 HENNA COURT
SANDPOINT, IDAHO
(208) 920-3543